

***Public Meeting: Incorporating
Alternative New Approaches
in Clinical Investigations
for New Animal Drugs***

Tuesday,
July 16, 2019

Sponsored by
U.S. Food & Drug Administration
Center for Veterinary Medicine

Held at the
Johns Hopkins University – Montgomery County
Gilchrist Auditorium
Rockville, Maryland

I N D E X

	<u>Page</u>
<i>Introduction</i>	
Walt Ellenberg, Ph.D., Special Advisor Office of New Animal Drug Evaluation Center for Veterinary Medicine, FDA	5
<i>Opening Remarks</i>	
Steven Solomon, DVM, Director Center for Veterinary Medicine, FDA	8
<i>Comments</i>	
Norman E. "Ned" Sharpless, MD, Acting Commissioner, FDA	10
<i>Topic 1: Complex Adaptive and Other Novel Investigation Designs</i>	21
<i>Introduction: Lisa Troutman, Veterinary Medical Officer Division of Therapeutic Drugs for Non-Food Animals Center for Veterinary Medicine, FDA</i>	
<i>Panel Moderator: Virginia (Jean) Recta, Statistical Reviewer Office of New Animal Drug Evaluation Center for Veterinary Medicine, FDA</i>	
<i>Invited Speaker No. 1</i>	
Robert Temple, MD Deputy Director for Clinical Science Center for Drug Evaluation and Research (CDER)	25
<i>Invited Speaker No. 2</i>	
Aloka Chakravarty, Ph.D. Acting Director, Office of Biostatistics Center for Drug Evaluation and Research (CDER)	40
<i>Panel Discussion:</i>	49
Speakers and	
Margie Bell, MS Animal Clinical Investigations, LLC	
Dorothy Brown, DVM Elanco Animal Health	
John Scott, Ph.D. FDA Center for Biologics Evaluation	
<i>Public Comment</i>	70

I N D E X (continued)

	<u>Page</u>
<i>Topic 2: Real World Evidence</i>	
<i>Introduction/Moderator: Emily Smith, Veterinary Medical Officer Division of Therapeutic Drugs for Food Animals Office of New Animal Drug Evaluation</i>	74
<i>Invited Speaker No. 1</i>	
Elizabeth Lund, DVM, Ph.D. President, DataDogs, LLC	78
<i>Invited Speaker No. 2</i>	
Laura Hungerford, DVM, MPH, Ph.D., CPH Virginia-Maryland College of Veterinary Medicine	94
<i>Panel Discussion</i>	108
Speakers	
<i>Public Comment</i>	118
<i>Topic 3: Biomarkers and Surrogate Endpoints</i>	
<i>Introduction/Moderator: Phillip Turfle, Veterinary Medical Officer Office of New Animal Drug Evaluation</i>	123
<i>Invited Speaker No. 1</i>	
Johann (Hans) Coetzee, BVSc, Ph.D., DACVCP, DACAW, DECAWSEL Kansas State University	128
<i>Invited Speaker No. 2</i>	
Lisa McShane, Ph.D. National Cancer Institute National Institutes of Health	142
<i>Invited Speaker No. 3</i>	
Chad Ray, Ph.D. Zoetis	159
<i>Panel Discussion</i>	
Speakers and	
Terry Katz, MS Merck Animal Health	171
<i>Public Comment</i>	188

I N D E X (continued)

	<u>Page</u>
<i>Topic 4: Foreign Data</i>	
<i>Introduction: Ana Lazo, Ph.D., Office of New Animal Drug Evaluation, FDA, CVM</i>	193
<i>Invited Speaker No. 1</i>	
Mary Jane Ireland, MSc, DVM, MBA Health Canada Veterinary Drugs Directorate	198
<i>Invited Speaker No. 2</i>	
Sharon Chase, DVM, MPH Schafer Veterinary Consultants, LLC	211
<i>Invited Speaker No. 3</i>	
Richard Clemence, BSc MSc Ph.D., MBIol MRQA Dechra Pharmaceuticals	218
<i>Invited Speaker No. 4</i>	
Kristi Smedley, Ph.D. CFR Services, Inc.	231
<i>Public Comment</i>	239
<i>Public Comment</i>	242
<i>Closing Remarks</i>	
Matthew Lucia, DVM Director, Office of New Animal Drug Evaluation	246

Keynotes: "----" Indicates inaudible in transcript,
 "*" Indicates phonetic spelling in transcript

P R O C E E D I N G S

(8:30 a.m.)

Introduction

by Walt Ellenberg, Ph.D., Special Advisor, Office of New Animal Drug Evaluation

Center for Veterinary Medicine, FDA

DR. ELLENBERG: Good morning and welcome. I would like to welcome our distinguished guests, industry stakeholders, folks from academia, private citizens and fellow FDA staff.

I am Walt Ellenberg, and I am a special advisor to the Office of New Animal Drug Evaluation in FDA's Center for Veterinary Medicine. Thank you for coming.

Today's meeting will address four primary areas on matters involving incorporating alternative approaches and clinical investigations for new animal drugs. Unlike other agency meetings in which the outcome of the meeting is delivered in the form of a recommendation to the commissioner, this meeting is different, and it provides stakeholders the opportunity to introduce new ideas, perspectives, data, approaches on various issues to FDA for consideration.

In other words, this is the perfect time for you all to submit information to us for consideration as we move forward in the development of guidance. It is important for

1 me to remind you that this is a public feedback meeting, and
2 as such FDA will be participating only in a listening mode.
3 And remember that the questions and the presentations and the
4 discussions that you hear today are not necessarily
5 indicative of the FDA's position on the matter, nor do they
6 forecast future directions in the regulatory development of
7 these particular areas.

8 In fact, one of the primary goals of this meeting
9 was for us to cast a broad net in order to optimize the
10 diversity of information that we receive and are able to
11 consider.

12 However, before we begin, there are a few things I
13 need to address. The first item focuses on today's agenda,
14 and you should all have a copy of it because it was available
15 in the lobby. You will note that there are two sessions in
16 the morning, two sessions in the afternoon. Each of the
17 sessions, each of the paired sessions, is actually, will be
18 separated by a break. The breaks will serve as transition
19 periods during which those individuals who will be
20 participating in the subsequent panels and/or speakers will
21 come down and make sure that they are ready to go five
22 minutes before the conclusion of the break or five minutes
23 before we resume from lunch.

24 Each of the actual sessions will consist of a
25 topic-specific presentation and/or panel discussion. Please

1 | note that the biographical information for each of today's
2 | speakers and panelists is available in the lobby on hard
3 | copy. This information is also available on the Web.

4 | In keeping with the public meeting designation,
5 | each session includes time for an oral presentation from the
6 | public in advance of the meeting. FDA received several
7 | requests to speak at the open session. As such, speakers
8 | have been scheduled to talk during the appropriate session.

9 | At the instructed time, the scheduled speaker will
10 | be invited to the podium to give his or her presentation, and
11 | the speakers are reminded that when they do approach
12 | the podium, would you please state your name, your
13 | affiliation -- I apologize for the feedback. We don't know
14 | where it is coming from honestly, and that is the truth, but
15 | I am going to continue.

16 | Please note that the transition from session to
17 | session will occur during the breaks. I just mentioned that
18 | but I wanted to repeat that. To avoid delays, speakers
19 | should find their way down here before we start because the
20 | schedule for today's meeting is very, very tight.

21 | We hope that the panel discussions and
22 | presentations will trigger additional ideas and perspectives.
23 | As such, we want you to take the time to submit information
24 | to the docket for consideration. The docket number, which
25 | has been rotating on the screen in front of you, is FDA-2019-

1 Drug Administration since April 2019. He previously served
2 as the 15th director of the National Cancer Institute.

3 Prior to his appointment at NCI, Dr. Sharpless
4 served as the director of the University of North Carolina's
5 Lineberger Comprehensive Cancer Center, a position he held
6 since January 2014. Dr. Sharpless was a Morehead scholar at
7 UNC Chapel Hill, received his undergraduate degree in
8 mathematics and went on to pursue his medical degree from the
9 UNC School of Medicine, graduating with honors and
10 distinction in 1993.

11 He then completed his internal medicine residency
12 at the Massachusetts General Hospital and a hematology
13 oncology fellowship with Dana-Farber/Partners CancerCare,
14 both at the Harvard Medical School of Boston.

15 After two years on the faculty of the Harvard
16 Medical School, he joined the faculty of UNC's School of
17 Medicine in the Department of Medicine and Genetics in 2002.
18 He became the Wellcome Professor of Cancer Research at UNC in
19 2012. As you will note, his career has focused both on
20 alleviating the suffering caused by cancer and promoting
21 research into promising new therapies. It is my honor and
22 privilege to welcome Dr. Sharpless.

23 (Applause)

24 DR. ELLENBERG: For those who are attending over
25 the Webcast or maybe on phones, please mute your phones

1 | because we are getting a tremendous amount of feedback and
2 | cannot hear the speakers. Thank you.

3 | *Comments*

4 | *Norman E. "Ned" Sharpless, MD, Acting Commissioner, FDA*

5 | DR. SHARPLESS: Good morning. Thank you,
6 | Dr. Solomon, and let me add my warm welcome to today's public
7 | meeting. It is my pleasure to help kick off what looks to be
8 | a really interesting topic and set of discussions.

9 | As stated, my prior role prior to becoming
10 | commissioner of the FDA, I was director of the National
11 | Cancer Institute at NIH since 2017. And before that I spent
12 | 20 years in academia as a cancer researcher and cancer doctor
13 | treating patients with hematologic cancers. As NCI director,
14 | I really was focused on --

15 | (Technical feedback)

16 | What an exciting time it is to be a cancer
17 | researcher because the pace of progress in that disease has
18 | been so remarkable. And now that I am at FDA, it is
19 | thrilling to be involved in areas that go beyond cancer as we
20 | advance our public health mission across the spectrum of
21 | human and animal medicine.

22 | As a physician and cancer researcher, I have seen
23 | the positive impact of scientific innovations on patient
24 | health, and advances in science are changing the trajectory
25 | of so many areas of public health, which is why I am excited

1 to be here today to discuss how we can continue to support
2 and promote innovation in animal drug development.

3 I also have experience with the private sector,
4 which has given me an appreciation of the opportunities as
5 well as the many challenges from both the developer and the
6 regulator perspective when it comes to new medicines. I have
7 learned in my short time at FDA that the Center for
8 Veterinary Medicine is a real microcosm of the FDA,
9 regulating a truly fascinating portfolio of technological
10 innovation.

11 As an example, intentional genomic alterations or
12 IGAs in animals have tremendous potential to improve
13 nutrition, address animal health disease issues, produce
14 substances for novel drugs, help prevent the spread of
15 zoonotic diseases and yield tissues and organs for
16 xenotransplantation. And this is an astonishingly rapidly
17 developing field, and CVM is really at the forefront of its
18 implementation for the public health.

19 The center is also committed to fostering
20 innovation of new animal drugs with implementation of its new
21 authority for expanded conditional approval, something we
22 will probably talk a lot about at this meeting, to treat
23 certain serious or life-threatening diseases or conditions or
24 to address certain unmet animal or human health needs.

25 We are really excited to see this program later

1 | this year when we put out more information clarifying the
2 | eligibility criteria for the expanded conditional approval
3 | pathway. CVM scientists are also at the forefront of using
4 | new models to reduce, replace and refine the use of animals
5 | in research through the development of innovative
6 | bioequivalence studies.

7 | And in the last few years, we have seen animal
8 | drug approvals that not only brought new treatment options to
9 | veterinarians, animal producers and owners but also showcased
10 | innovative approaches to meeting the regulatory requirements.

11 | For example, through a combination of government-
12 | sponsored aquaculture research and publicly available use
13 | data collected in natural outbreaks of disease, a new
14 | indication was added to the label for Parasite S, a formalin
15 | product used to treat fungal disease in freshwater-reared
16 | fish, finfish.

17 | Another example is the use of a novel study
18 | designed to assess the prevention of lyme disease in dogs.
19 | Studies that measure the transmission of *Borrelia burgdorferi*
20 | to dogs after exposure to infected ticks supported a novel
21 | indication for NexGard for the prevention of *Borrelia*
22 | infection in dogs by killing the vector ticks before they
23 | transmitted the infection.

24 | While we are doing a tremendous amount of
25 | excellent, innovative work across the animal sector, I do

1 believe we can do more. If we want to harness the full
2 potential of animal drugs and animal feed to transform the
3 industry in animal health, we need to become more efficient,
4 more collaborative and more data driven so that we can learn
5 from every patient, every animal patient's journey and
6 utilize all available scientific data.

7 To fully and effectively achieve FDA's mission to
8 promote and protect public health, we must seize the
9 opportunities offered by these scientific and technological
10 advances. The Animal Drug and Animal Generic Drug User Fee
11 Amendments of 2018 provided FDA the opportunity to engage the
12 public on different approaches to modernizing clinical trials
13 in veterinary medicine in support of animal drug
14 developments.

15 Consistent with that charge, we will discuss
16 today how to incorporate the following principles into
17 clinical trial protocols for animal drugs. So complex,
18 adaptive and other novel investigation designs, data from
19 foreign countries, real world evidence, biomarkers and the
20 use of surrogate endpoints.

21 The User Fee Amendments of 2018 highlighted the
22 role foreign data might play in FDA's evaluation of Food
23 Additive Petitions. The session today on data from foreign
24 countries seeks input on how these data can inform FDA's
25 evaluation of both animal drugs and animal food additives.

1 Some of the questions we might ask ourselves
2 today include: Can complex, adaptive and other novel
3 investigations designs make trials more flexible? How can we
4 better utilize data from foreign countries to support animal
5 drug approval and Food Additive Petitions in the United
6 States?

7 What approval steps are needed to truly support a
8 global approval? And I should mention that we are fortunate
9 today to have with us Dr. Mary Jane Ireland, Director
10 General, the Veterinary Drug Directorate of Health Canada,
11 who will be speaking on international regulatory
12 collaborations, so thank you for being here this morning.

13 How can real world evidence be used to maximize
14 the available information for the evaluation of safe and
15 effective animal drugs? Real world evidence may include
16 ongoing surveillance activities, observational studies and
17 registry data among other things.

18 Our speakers from private industry and academia
19 today offer two perspectives on the use of real world
20 evidence in animal drug developments. We look forward to
21 their input on how we can harness these data to support
22 regulatory decision-making, and this is, you can imagine, a
23 topic permeating throughout the FDA presently, beyond CVM.

24 How can biomarkers and surrogate endpoints be
25 identified, qualified and applied to the effectiveness

1 evaluation of animal drugs? There are many other questions
2 we will need answers, to ensure the FDA is taking an
3 appropriate, well-informed and science-based approach to the
4 design of clinical trials for animal drugs.

5 It is also important to reaffirm, as we work to
6 make our process more efficient, and as we look forward to
7 the new technologies and new methodologies, we will always
8 maintain our focus on the FDA's gold standard of efficacy and
9 safety. And that's both target animal safety and human
10 safety.

11 As explained in the background materials for
12 today's meeting, there are several existing guidance
13 documents already available on the topics under discussion
14 today. You could ask why we don't simply apply these
15 policies to animal drugs. As you participate in today's
16 meeting, and as you submit comments to our open docket,
17 consider the unique challenges of animal drug developments.
18 The large and varied number of animal species, different
19 housing types, and many animal management and husbandry
20 practices.

21 Consider how we can leverage the existing
22 knowledge and experiences with human drug development and
23 apply them in the most appropriate way to animal drug
24 developments. Your feedback and most importantly your ideas
25 can offer us new pathways forward. We look forward to your

1 | comments and your proposals to overcome challenges that can
2 | move innovative products to approval while maintaining FDA's
3 | public health mission.

4 | I thank you all for taking the time to join us
5 | today and for your contributions toward these important
6 | topics. And have a great meeting.

7 | (Applause)

8 | DR. SOLOMON: Thank you, Dr. Sharpless. Let me
9 | take a little bit of a step back and just reinforce some of
10 | Commissioner Sharpless' points. As we mentioned, this
11 | specific public meeting came about during the user fee
12 | reauthorization that occurred last August. Specifically in
13 | the Animal Drug User Fee Act, Section 305, Congress
14 | instructed us about guidances addressing investigational
15 | designs.

16 | CVM is to issue guidance or guidances that will
17 | help sponsors incorporate complex, adaptive and other novel
18 | investigation designs, data from foreign countries, real
19 | world evidence, biomarkers and surrogate endpoints into
20 | proposed clinical investigation protocols and applications
21 | for new animal drugs. Specific language in the statute.

22 | This applies to new animal drug approvals under
23 | Section 512 of the Federal Food, Drug and Cosmetic Act. And
24 | also to conditional approved new animal drugs for minor uses
25 | or minor species under Section 512. 571, sorry.

1 We also are supposed to address how we will
2 evaluate these investigation design elements in our
3 regulatory review process, and how sponsors can get feedback
4 from us on technical issues related to them before submitting
5 an application.

6 We are here today to consult and hear from you,
7 our stakeholders, including regulated industry, consumer
8 groups, academia, veterinarians and food producers. The
9 people that are in this public meeting, both here in person
10 and those on the Webinar, we want to hear from you in the
11 purpose of this meeting.

12 After this meeting, we have a year to digest all
13 of the information we've heard and the information submitted
14 in the docket that was talked about before, and issue either
15 a draft guidance or guidance documents.

16 There will be a public comment period on those
17 draft guidances, and then we are to finalize the guidances a
18 year after that. So we have a tight timeframe of a lot of
19 activity so this meeting is critical to get that input.

20 There is another section of the Animal Drug User
21 Fee Reauthorization that is unrelated to veterinary
22 pharmaceuticals but very much dovetails with the charge to
23 promote innovation. Section 306 addresses food additives
24 intended for use in animal food. It amends the Federal Food,
25 Drug and Cosmetic Act by requiring us to review reports of

1 | investigations conducted in foreign countries as part of our
2 | process for reviewing animal food additives.

3 | As we were planning this conference, we thought
4 | it would be advantageous to include the discussion of food
5 | additive foreign data since we are already covering foreign
6 | data in the pharmaceutical arena.

7 | So that covers the statutory basis for the
8 | meeting but today's meeting is not perfunctory in trying to
9 | meet a statutory mandate. It reflects CVM's strong support
10 | and commitment to investigating these critical issues, and
11 | which we expressed during our discussion with Congress.

12 | So during the negotiations, we talked to them
13 | about the need to look for innovative new approaches,
14 | re-examine how we are looking at the process of approval of
15 | drugs.

16 | As you well know, we have a philosophy and
17 | approach in CVM to engage with sponsors early in the review
18 | process to establish the most efficient and relevant process
19 | for conducting clinical investigations.

20 | As Dr. Sharpless noted, it has already resulted
21 | in many examples of innovative ways to achieve new animal
22 | drug approvals using alternative approaches. We all
23 | recognize the challenges of availability, of safe and
24 | effective animal pharmaceuticals. The range of diversity of
25 | species that we are dealing with -- food-producing animals

1 ranging from bees to dealing with wildlife and elephants.

2 Real challenge in CVM in trying to get veterinary
3 pharmaceuticals available. The wide spectrum of animal
4 disease conditions and evolving animal production conditions.

5 In addition to the organizers, we have nearly 150
6 CVM scientists and other staff attending today both in person
7 and on the Webcast. I think this demonstrates the level of
8 interest of the Center for Veterinary Medicine in these
9 topics and our interest in your perspectives on these issues.

10 I welcome and encourage you today to take part in
11 a robust dialogue today, and also to make sure you further
12 provide comments to the docket. We have put together an
13 outstanding agenda and speakers and panel participants.

14 We are pleased to have representatives from our
15 FDA colleagues from the Center for Drug Evaluation and
16 Research and from our Center for Biologic Evaluation Research
17 who have been looking at these issues from the human medicine
18 side, and who we believe their insight will be invaluable to
19 us.

20 We also have outstanding representatives from the
21 National Institute of Health, industry, academia, consultants
22 and our regulatory partner of Health Canada's Veterinary Drug
23 Directorate.

24 We welcome additional input from today's
25 discussion since we all recognize that the best ideas come

1 from collective thinking and dialogue. So this is the start
2 of that discussion. You could also provide your thoughts and
3 potential solutions to the docket, which is open until August
4 17. So I remind you of the importance of that.

5 Before I turn the floor over to our first panel,
6 let me thank the organizing committee that put this meeting
7 together. This is not something that we do on a routine
8 basis so they went through extraordinary work to try and put
9 this meeting together.

10 I would like to recognize the lead organizers and
11 the section coordinators and ask them to stand when I mention
12 their names. Susan Storey, Walt Ellenberg, Lisa Troutman,
13 Jean Recta, Phil Turfle, Emily Smith, Ana Lazo, Amey Adams
14 and Chris Louviere. Thank you.

15 (Applause)

16 I would also like to thank many other people in
17 CVM who contributed to this event, from participating in the
18 technical committees, helping at the registration desk,
19 helping with travel planning, helping in the parking lot to
20 make sure people could find the location. So there are a lot
21 of people involved. I would also like thank Barbara Cruze
22 and Tom Flood and the Johns Hopkins University for their
23 willingness to accommodate our needs in holding this public
24 meeting. We could not have fit you all into CVM.

25 Finally I would like to thank all the

1 participants whose contributions are making this meeting
2 possible. I will be in attendance in the morning, and
3 apologize but I need to leave for a meeting in DC this
4 afternoon. Believe me, I would much rather be here with you
5 all. So let me turn this meeting over, and I look forward to
6 the good ideas coming forth. Thank you very much.

7 DR. ELLENBERG: Hi, everybody. This is Walt
8 Ellenberg. We are going to go off script for a second. I
9 need to ask for those who are participating online via the
10 Webcast, I would like for you to know that we understand and
11 acknowledge that there are audio problems that are going on,
12 on your end and you may not be able to hear us with great
13 clarity. But please know that we are working on it.

14 And so I would ask that you refrain from raising
15 your hand or clicking or toggling any of the buttons because
16 it is showing up in the middle of our screen. So if you
17 would refrain from that, I would appreciate it but we are
18 working on the sound. Thank you.

19 (Applause)

20 ***Topic 1: Complex, Adaptive and Other Novel Investigation Design***

21 ***Introduction: Lisa Troutman, Veterinary Medical Officer,***

22 ***Division of Therapeutic Drugs for Non-Food Animals***

23 ***Center for Veterinary Medicine, FDA***

24 DR. TROUTMAN: Good morning. It is my privilege
25 today to kick off the first session of four sessions on

1 complex, adaptive and other novel investigational designs. I
2 want to thank Dr. Sharpless and Dr. Solomon for their opening
3 comments in welcoming everybody here.

4 So as I said, I am Lisa Troutman. I am a
5 Veterinary Medical Officer in the Division of Therapeutic
6 Drugs for Non-Food Animals at the Center for Veterinary
7 Medicine. So as you heard, the purpose of today is to seek
8 feedback from you. We want to hear from you and help gather
9 feedback to issue a guidance document a year from now on
10 these topics. And there will probably be multiple guidance
11 documents.

12 (Slide)

13 So in fall of 2018, the Center for Drug
14 Evaluation Research and the Center for Biological Evaluation
15 Research issued a guidance document on adaptive designs for
16 clinical trials of drugs and biologics, and this was specific
17 for human drugs.

18 There are other guidance documents that have been
19 issued such as adaptive designs for devices. There have been
20 enrichment strategies. There are two specific for oncology
21 drugs. There are master protocols. There are expansion
22 cohorts. And also one for devices for Bayesian statistics.

23 (Slide)

24 So we put together a set of three questions that
25 we are seeking feedback on, and if you are not able to

1 provide feedback today, we do encourage you to provide
2 feedback to the docket, which will be open until August 17th.

3 (Slide)

4 So our first question is how do we apply these
5 guidance documents to the study designs for animal drugs?
6 What are the potential study adaptation features that we
7 should apply to veterinary medicine? What challenges and
8 possible solutions do we have to apply these adaptive designs
9 for veterinary medicine? And what types of studies can we
10 use for our study designs?

11 (Slide)

12 Our second question is more along definitions.
13 What is an adaptive design compared to a complex adaptive
14 design? This was a question posed to us from Congress. What
15 constitutes other novel investigational designs? And what
16 are examples that are directly applicable for us, for
17 veterinary medicine?

18 (Slide)

19 Our third set of questions is are there
20 partnerships that can be formed between FDA and the regulated
21 industry, academia or other groups to facilitate the
22 development of these novel study designs? What strategic
23 work is needed to enable the regulated industry to make full
24 use of these novel investigational designs? And what methods
25 are needed, such as simulations or modeling, to help

1 facilitate the use of these designs?

2 (Slide)

3 So CVM has had some experience with adaptive
4 designs. We have used sequential designs for sample size
5 re-estimation for blood level equivalence studies. We have
6 used it with sample size re-estimation and protocol designs.
7 And in pilot studies we have seen dose escalation studies
8 that are using a sequential approach.

9 (Slide)

10 So again we greatly appreciate your feedback. We
11 are in a listening mode today and so we want to get as much
12 feedback as possible. We are privileged today to have a
13 wonderful panel of specialists that I will introduce. We
14 have two people who are going to be speaking: Dr. Robert
15 Temple from CDER will be speaking on his experience in
16 developing these guidance documents on adaptive design.

17 Dr. Aloka Chakravarty is going to be speaking
18 from CDER on a pilot program that they have clinical
19 investigational designs. They are then going to join the
20 rest of the panelists that we have up here. We are
21 privileged to have Ms. Margie Bell, who is a statistician
22 with animal clinical investigations, and she has been
23 involved in many study designs and worked with many
24 companies. We are privileged to have her.

25 We have Dr. Dottie Brown, who is with Elanco

1 currently. She used to be at the University of Pennsylvania,
2 and she has been involved in a lot of study designs and
3 adaptive designs, especially with pain models.

4 And then last but not least we are privileged to
5 have Dr. John Scott from CBER who has also been instrumental
6 in developing these guidance documents that I pointed out
7 previously. So without further ado, I am going to invite
8 Dr. Temple up to start his presentation.

9 (Applause)

10

11

Invited Speaker No. 1

12

Robert Temple, MD, Deputy Director for Clinical Science,

13

Center for Drug Evaluation and Research (CDER), FDA

14

DR. TEMPLE: Good morning. As will be apparent, I
15 have almost no information about studies designed to show
16 effectiveness in animals. So how applicable this is all
17 going to be, you are going to have to decide.

18

What I am going to tell you is the things we have
19 done over the years. I should also note that I didn't
20 correct every error on my slides, including the first one,
21 because it should have said novel designs, not drugs.

22

(Slide)

23

As was mentioned, we put out a guidance on
24 adaptive designs that has a million suggestions. It is 25
25 pages in great detail. And some of them are so simple, you

1 | would have thought people would do it all the time.

2 | For example, there are free adaptations, things
3 | where you look at the total population not by treatment group
4 | and test whether your assumptions are right. Are the
5 | baseline characteristics distributed the way you hoped? Are
6 | the outcome event rates total overall not just in the treated
7 | group the same? Is the measurement variability similar? And
8 | you can adapt those things, and you don't pay a statistical
9 | price for those. They should sort of in some sense be done
10 | all the time. And that seems clear.

11 | Another thing not always done is that one can
12 | continue a trial until a certain number of events are
13 | achieved. That seems far more sensible than assessing the
14 | sample size right off the bat. And yet that is not commonly
15 | done and it should be. And it is certainly the sort of thing
16 | that can be considered.

17 | (Slide)

18 | There are other fairly obvious things to do. It
19 | is perfectly sensible to look at interim effectiveness
20 | results, statistically corrected using various things are
21 | well-known, group sequential designs analyzed by the O'Brien
22 | Fleming method or Lan-DeMets. There are lots of them. And
23 | if you are not very sure about how things are going to come
24 | out, how big the effect is, there is some statistical price
25 | for doing this but it is the sort of thing that should be

1 | considered all the time.

2 | It makes sense to consider adaptations of sample
3 | size. One can do an interim effectiveness evaluation. They
4 | don't usually contemplate changing sample size but there is
5 | nothing that says you can't. And in territory where you are
6 | not familiar -- a new animal species or something where you
7 | don't have a whole lot of data, it makes tremendous sense to
8 | do that.

9 | There are also possibilities for adaptive
10 | enrichment not commonly used. One could examine subgroup
11 | responses and adjust the population or analyses or
12 | randomization rates of different subgroups in humans,
13 | including more males or more females or something like that,
14 | again with control of Type 1 error. But if you don't
15 | consider these things, you won't get to do them.

16 | (Slide)

17 | The other big thing, and I am going to spend most
18 | of my time talking about this, is the possibility of
19 | enrichment. In some sense that is an adaptation taken before
20 | the study. It is to choose a population that is one way or
21 | another is more likely to be able to show you that the drug
22 | has an effect than not. It will do that for the particular
23 | population you studied.

24 | (Slide)

25 | It might raise generalization issues but it does

1 | succeed in showing you that the drug has an effect in some
2 | population. We have detailed guidance on this, on how to
3 | select a population for study that is particularly likely to
4 | have the outcome events of interest. That is called
5 | prognostic enrichment. Or is especially likely to respond
6 | because they have a genetic or pathophysiologic
7 | characteristic. That is called predictive enrichment. And
8 | again, I am going to talk a little bit about how this can be
9 | done in human studies, and you all are going to have to
10 | decide which, if any, of these maneuvers could be useful in
11 | the animal study world.

12 | (Slide)

13 | So what is enrichment? Enrichment is the
14 | perspective used, perspective now, of any patient
15 | characteristic, whether it is demographic, pathophysiologic,
16 | historical, genetic, lots of others -- behavioral -- to
17 | select patients for study to get a study population in which
18 | detection of a drug effect is more likely because they have
19 | something to detect.

20 | And this occurs to a degree in every trial.
21 | There is always entry criteria, although the idea of
22 | enrichment may not be explicit. And there are three major
23 | things you try to do. One is to decrease heterogeneity,
24 | noise of various kinds. I will give you some examples,
25 | whether any of these are applicable to the animal world I am

1 not sure but you can see.

2 As I said, the second is finding a population
3 that has a lot of outcome events. High-risk patients. That
4 is prognostic enrichment. It tells you about that population
5 but at least it's a place where you can show at least for
6 starters that the drug works on somebody.

7 And then identifying a population capable of
8 responding to the treatment. That is predicted enrichment.
9 Far more common as we now begin to understand the genetic and
10 pathophysiologic causes of diseases. It is becoming much
11 more possible to do that, very strikingly in cancer.

12 Essentially almost all cancer drugs are targeted in a
13 particular population. Now that did not used to be true.

14 As I said, lots of examples in here. You will
15 have to think about how many seem applicable to the animal
16 world.

17 (Slide)

18 Okay, the first kind -- again, whether this is
19 really applicable, I am not sure. But the first thing you
20 have to do is reduce heterogeneity and noise. So in clinical
21 trials we're very careful to define the entry criteria
22 carefully. You don't want to put someone into the trial who
23 doesn't have the disease you are trying to treat. Duh, that
24 is fairly obvious.

25 In humans, you look for likely compliers. I am

1 not sure if that is a problem with animals. You will have to
2 say whether it is. My favorite example is a VA hypertension
3 study done many years ago in the late '70s, I think, where
4 they had an open period in which they included riboflavin in
5 a placebo. And when you shine a light on someone's urine
6 with riboflavin in it, it glows.

7 So they treated all the prospective people with a
8 placebo, and if their urine didn't glow, they didn't
9 randomize them. I mean, this was done a million years ago.
10 It's a very good idea. No point in studying patients who
11 won't take the drug.

12 You try to choose people who won't drop out
13 because of concomitant illness or because they can't get to
14 the clinic. You try to eliminate placebo responders in a
15 lead-in period, a very good idea.

16 You get rid of people who can't do the test. If
17 there is a treadmill test, and they vary up and down over the
18 placebo period, don't put them in the trial. You are not
19 going to be able to see anything. There is going to be too
20 much noise.

21 You eliminate people who have a concomitant
22 illness that is going to make them drop out of the study or
23 die. And you take out people who are already on the drugs.
24 So that's easy.

25 (Slide)

1 Apart from practical enrichment, which I just
2 described, enrichment comes in two flavors. One is
3 prognostic enrichment. Again, you will have to figure out
4 how this applies to the animal world but you are trying to
5 find high-risk people, those who are likely to have the
6 study endpoints you are trying to reduce. Or is likely to
7 have a large change in the endpoint being measured, a high
8 rate of deterioration or something like that.

9 And how to detect that is often tricky. It has
10 major study size implications of course. In humans, at
11 least, the size of the effect matters. You would be more
12 impressed if the drug has toxicity of 50 percent change in
13 event rate. It would mean a lot if that is what you have got
14 in high-risk patients. You might accept considerable
15 toxicity.

16 So it is important to know that stuff. Again how
17 that applies to the animal world, I don't know.

18 And then the other kind of enrichment, as I said,
19 becoming extremely important as we understand the genetics
20 and pathophysiology, is choosing people more likely to respond
21 to people. This could be proteomic or genomic. Could also
22 be patient history. It could be identification of an early
23 response on a biomarker.

24 You only randomize the people who have tumor
25 response, things like that. You could do those things. Or

1 an early radiographic measure, something like that.

2 (Slide)

3 Again these are human examples. How well these
4 are going to apply, I don't know. The information
5 distinguishing individuals with respect to risk is growing
6 rapidly. We understand these things much better but we've
7 had that sort of information before. An example is looking
8 at cardiovascular outcome studies.

9 We know that having heart failure makes you more
10 likely to have a wide range of cardiovascular events. Having
11 high cholesterol or high blood pressure or angiographic
12 abnormality -- those all predict people who are going to have
13 trouble.

14 The presence of diabetes means a greater
15 likelihood of heart attack. Having had a recent event heart
16 attack or stroke recently, elevated C reactive protein, a
17 study of a lipid-lowering drug or super statin in people with
18 minimally elevated cholesterol was successful because they
19 picked people who a high C reactive protein, which predicted
20 a high rate of response, a high likelihood of having a heart
21 attack.

22 So you took people -- they were therefore able to
23 show a benefit in people with only modestly elevated
24 cholesterol, not a trivial matter.

25 I am not sure how much this applies but cancer or

1 previous breast cancer is a good predictor of having
2 contralateral cancer. So if you are doing a study to prevent
3 the development of cancer, you might want to pick people who
4 already have one to see if you can do another.

5 And as it says there, tumor histology or genetic
6 proteomic markers can predict occurrence of metastases and
7 that kind of thing.

8 Prognostic enrichment is critical in any test
9 where you are trying to prevent something. They better have
10 the event or you are not going to be able to prevent it. So
11 you know that, and I already gave you the contralateral
12 cancer case. I won't dwell on that any further.

13 In cardiovascular medicine, it's long been
14 routine to try to pick people at high risk, and it can be
15 unbelievably successful. My favorite example in heart
16 failure was a study of an ACE inhibitor called enalapril in
17 what is called the Consensus study. It's hard to show
18 survival benefits in cardiovascular outcome studies. They
19 have to be very big. There will be thousands of people.

20 (Slide)

21 The Consensus study was done entirely in New York
22 Heart Class IV people. That's people who can barely get out
23 of bed, okay. It included 253 patients. Not 4,000, 5,000,
24 6,000. It showed a survival effect in only 6 months and
25 that's because the untreated mortality was 40 percent in 2

1 months.

2 So the fact that the drug worked was easily
3 demonstrable in this study, and then they did later studies
4 in people with less severe illness. But getting the very
5 sick people is a very good example.

6 The first lipid outcome study was the 4S study of
7 Simvastatin. That was done in people who had had a heart
8 attack, very high cholesterol. Had a 9 percent 5-year
9 mortality. Showed a survival advantage. Later studies had
10 much more difficulty showing that.

11 So enriching with people who have the events is a
12 good idea.

13 (Slide)

14 And as I said before, probably the most exciting
15 enrichment strategy that people are thinking now is
16 predictive enrichment, finding patients who are most likely
17 to respond because they have the genetic marker that the drug
18 affects, things like that.

19 These are going to be all over, and I am not
20 going to dwell on them too much but -- I am not going to give
21 the examples. They are human examples but you have got to
22 see whether there are, in fact, animal examples of the same
23 thing, people with a marker that predicts they will have this
24 disease or something like that.

25 (Slide)

1 And of course the pathophysiology of the
2 particular disease can matter as has been known for 50 years.
3 Hypertension can be high-renin or low-renin, and high-renin
4 population will show a much larger effect to drugs like ACE
5 inhibitors, angiotensin blockers or beta blockers because
6 they work by inhibiting renin function.

7 We study antibiotics obviously in people with the
8 infection sensitive to the antibacterial, and more and more
9 genetically determined differences can be the basis for
10 pathophysiological things. And I am not going to give
11 examples but this is mostly in tumors and things like that.
12 ER positive tumors respond to certain drugs and not others.

13 (Slide)

14 People have done those things in the past, even
15 when they didn't understand the genetics. One of my favorite
16 examples was something called the CAST study a million years
17 ago, which was trying to see if people could respond to
18 antiarrhythmics. That is, if antiarrhythmics would prevent
19 mortality.

20 So they took a bunch of people who had had at
21 least 10 ventricular premature beats after having a heart
22 attack because it was known that those people had a higher
23 mortality rate. And the first thing they did was screen with
24 a couple of very effective antiarrhythmics, and they only put
25 people into the trial if they had a 70 percent reduction of

1 ventricular premature beats during the screening period.

2 Only responders.

3 These were very powerful drugs, encainide and
4 flecainide. So it was a brilliant idea but the study failed.
5 It didn't show a benefit; in fact, it showed an adverse
6 effect but it was brilliant idea.

7 Beta blocker heart attack trials in -- beta
8 blocker trials in congestive heart failure were carried out
9 only in people who could tolerate the drugs. Some people
10 don't tolerate a beta blocker. They don't like the slow
11 heart rate or they go into heart failure. The trials were
12 done only in people who could tolerate them, and they showed
13 effectiveness in heart failure if you could tolerate them.

14 It is worth noting that every randomized
15 withdrawal study, which I am going to describe in a moment,
16 has the characteristic that you only put in people who seem
17 to be responding to it so it is selected for responders.

18 (Slide)

19 The advantages of predictive enrichment -- there
20 is a table written by Rich Simon. If only 25 percent of the
21 people, lower left there, have the marker that you are
22 interested in, the genetic marker, whatever it is, the sample
23 size ratio is reduced by a factor of 16.

24 If you put only those people into the trial, it
25 is an enormous advantage, and you have to be thought of. You

1 know, it is sort of obvious. If only 4 percent of the
2 population can respond to the drug you have, you are going to
3 need a very large study to show anything effective. In fact,
4 you won't ever show anything.

5 You would only do it if you find the responders
6 and put them in trial. I mean, that is sort of obvious but
7 it is very important to think about.

8 (Slide)

9 I do want to mention one study design that isn't
10 used as much as it probably ought to be. It was actually
11 invented in 1975 by a Belgium doctor named Amery who was very
12 worried about the trials, the angina trials, they were doing.
13 They were eight-month trials, and he really -- or six to
14 eight month trials -- and he really didn't like the idea of
15 keeping the people with angina on placebo for six to eight
16 months. That seemed cruel and unusual to him.

17 So he designed a trial, designed in which you
18 first screened for apparent responders. You gave the drug to
19 everybody and watched them four weeks. And if they seemed to
20 have a response, you kept them on it for another while.

21 You then did a randomized withdrawal. That is,
22 you assigned some of them to continued therapy and others to
23 taking the drug away. And for most drugs, whose effects are
24 short-term, you got an answer on whether the drug worked in
25 the apparent responders within a couple weeks.

1 So you weren't putting people on long, unethical
2 placebos for a long period of time. And he really liked that
3 design. And in fact it is now commonly used in a lot of
4 situations. Many of our pain studies now use this because it
5 is hard to keep people in an opiate study on placebo. You
6 know, they have pain. They are not going to be in your study
7 for two weeks and it takes that long for the thing to work.

8 So a lot of those trials are now randomized
9 withdrawal studies. And the trials have another advantage.
10 They are enriched with people who are doing well on treatment
11 so if only a fraction of the population responds, this finds
12 the fraction and tests them. It's a very effective way to do
13 studies.

14 Another attractiveness is -- I don't know whether
15 this is true in the animal world -- but in the human world
16 you will often find people who are already on drugs that are
17 being tested. There is a big population of them. Well, you
18 can just put them to in the randomized withdrawal trial. You
19 don't have to scout around to find patients, and they are
20 extremely efficient. And it's a very interesting design to
21 think about.

22 (Slide)

23 As I said, it's efficient. The patients exist
24 and are known. It's attractive ethically because as soon as
25 the failure criterion is met, you can stop it. It makes it a

1 very attractive design for pediatrics. Nobody likes the idea
2 of keeping people on ineffective therapy for a long period of
3 time.

4 (Slide)

5 And my final slide is you all know far better
6 than I do -- not for better, far better -- whether the
7 subjects of your trials can be chosen and identified, using
8 these approaches, and if they can, it can clearly help. And
9 I think that is something you need to think about. Thank
10 you.

11 (Applause)

12 DR. TROUTMAN: Thank you very much. I want to
13 just make a couple of comments. If you are on the line,
14 please mute your phone. There is a lot of extra noise that
15 people are hearing, and it is making it hard to hear the
16 speakers.

17 The other thing I do request is for the speakers
18 to please speak directly into the mic so that the
19 transcription can pick it up. Apparently they are having a
20 little difficulty picking that up.

21 So thank you very much. And without further ado
22 I want to invite Dr. Chakravarty to come up and speak about
23 the pilot program for clinical investigational designs.

24

25

Invited Speaker No. 2

1 *Aloka Chakravarty, Ph.D., Acting Director, Office of Biostatistics*

2 *Center for Drug Evaluation and Research (CDER), FDA*

3 DR. CHAKRAVARTY: Good morning. Can you hear me
4 right? First I want to thank the organizers for
5 inviting -- this is a parallel experience that I think can be
6 of mutual interest.

7 So I am going to talk on our experiences on the
8 complex, innovative design pilot, and I present this on
9 behalf of the entire working group. I am Aloka Chakravarty,
10 Acting Director of Office of Biostatistics in CDER.

11 (Slide)

12 So first, why? Of course, to ensure the safe and
13 effective therapies for patients. Also CID, as I will
14 short-form the complex, innovative design, provides a path
15 forward for challenging problems benefitting -- for
16 innovative thinking. One size does not fit all, and this
17 kind of brings that to the fore. And then learn
18 collaboratively.

19 In the PDUFA VI, which is the equivalent User Fee
20 Act, there was a section for enhancing regulatory decision
21 tools to support drug development and review. And it
22 particularly called out complex, innovative trial designs and
23 that includes complex adaptive, Bayesian and other novel
24 clinical trial designs with a particular focus on designs
25 requiring simulations to determine the operating

1 characteristic such as Type 1 error or other.

2 (Slide)

3 So what are the features? There can be many
4 different kinds of designs. For example, use of historical
5 control patients, seamless adaptive design where you are
6 doing as maybe a dose-ranging study and then picking up the
7 winners to move directly into phase 3.

8 Hierarchical modelings, use of formal trial
9 distribution, response adaptive randomization and so on.

10 (Slide)

11 Now to the what. So the objective is to
12 facilitate the advancement and use of complex innovative
13 design. And to do that, there were multiple things that were
14 put in. The first one was to develop staff capacity. The
15 next one is to conduct a pilot program, and I am going to
16 talk more about that in the following slides, focusing on it.

17 Convene a public workshop just like this one. We
18 had a workshop about 18 months ago in April of 2018. Publish
19 draft guidance just as CVM is tasked to do. Develop or
20 revise relevant MAPPs, SOPPs and other review templates.

21 (Slide)

22 So let me go a little bit more into the pilot
23 program now. This started with a Federal Register notice in
24 August last year. And it called out the highly innovative
25 trial design for which analytically defined properties -- for

1 | example, Type 1 error -- cannot be possible and simulations
2 | are necessary to determine trial operating characteristics.

3 | And this highlights the goal of facilitating and
4 | advancing the use of complex adaptive vision and other novel
5 | clinical trial designs.

6 | (Slide)

7 | So the CID pilot program is a joint CDER and CBER
8 | effort, and in this pilot program, the sponsors did the
9 | designs and have the opportunity to engage with the
10 | regulatory staff on designs via two meetings, two additional
11 | meetings.

12 | The agency selects up to two submissions per
13 | quarter, and uses the design as case study for continuing
14 | education and information sharing. So this is the disclosure
15 | piece. Even before the drug is approved, this particular
16 | pilot program allows us to disseminate what were the elements
17 | of the design so that there is a very robust learning
18 | experience and you can learn from the successes as well as
19 | the failures to see, okay, we tried this, and this didn't
20 | work. So maybe we need to think about it in a different way.

21 | The meetings are led by OB and CDER with
22 | participation for all relevant disciplines, and it's a
23 | five-year duration.

24 | The Website is given here and I urge you to go
25 | into it and look. There is a plethora of information in it

1 | along with a lot of details in it, and this shows quite a bit
2 | of parallelism in what CVM is undertaking as well.

3 | (Slide)

4 | So to be eligible for this pilot program, the
5 | sponsor must have a pre-IND or an IND number, investigational
6 | new drug number, for the medical products included with the
7 | intent of implementing CID in the pilot program application.

8 | The proposed CID is intended to provide
9 | substantial evidence of effectiveness to support regulatory
10 | approval. The trial should not be a first in human study,
11 | and there should be sufficient clinical information available
12 | to inform the proposed CID.

13 | And the sponsor and the FDA should be able to
14 | reach agreement on trial design information to be publicly
15 | disclosed. So the sponsor has to agree that to be in this
16 | pilot program, FDA is able to discuss some of the design
17 | elements and these disclosures are done on a mutual
18 | discussion basis.

19 | (Slide)

20 | So now to look at the timeline, I am going to go
21 | through this a little bit in detail. So the first one is the
22 | sponsor submits the information, then -- it's not very big so
23 | you may have difficulty in reading it. And I am having
24 | difficulty in reading so I will make a move to this side so I
25 | can read it slightly better.

1 So FDA evaluates the CID request. And then at
2 the end of that, on Day 45 FDA notifies if the CID request
3 has been accepted or not.

4 So if it's accepted, then FDA will -- is there a
5 pointer I can use? So FDA and the sponsor can discuss the
6 design elements and the disclosure elements. At the end of
7 that, on Day 80 or thereabouts, FDA sends the meeting granted
8 or meeting denied letter to the sponsor. And then shortly
9 afterwards, the sponsor submits the CID meeting package.

10 So as I mentioned, there are two meetings, and I
11 am going to go into the details of both the meetings because
12 the requirements and the need for these two meetings are
13 slightly different.

14 Then after that, continuing on the review path,
15 around Day 120 the CID first meeting happens. And based on
16 that, the sponsor submits the second meeting package, which
17 is additional information and additional details that is
18 needed to evaluate.

19 And Day 240, the CID meeting, the second meeting,
20 happens. So that in a nutshell is the timeline.

21 (Slide)

22 So the CID meeting request actually needs to have
23 adequate information so that we can evaluate whether that
24 particular design is appropriate in that situation. So to
25 have that, of course you have the product name, the IND name

1 and so on but a background section that includes a brief
2 history of the development and the status of product
3 development, trial objectives and brief rationale for the
4 choice of the proposed CID.

5 The description of the study design, including
6 the study schema, with treatment arms, randomization strategy
7 and endpoints also need to be submitted.

8 (Slide)

9 In this package, the key features of the
10 statistical analysis plan should be there, including a
11 simulation plan. And it should talk about the elements of
12 the study design that the sponsor considers nondisclosable
13 along with the rationale for exclusion.

14 A list of issues for discussion with FDA about
15 the specified proposed CID approach for the applicable drug
16 development program and summarized list of next steps that
17 are needed for the regulatory decision-making along with any
18 supporting data relevant to the discussion.

19 (Slide)

20 So we are still on meeting one, and at that
21 point, after that, the FDA evaluates the CID meeting request
22 and particularly the trial design appropriateness for the
23 pilot program, the therapeutic need, the level of innovation
24 in the trial design, the value proposition of the CID, and
25 the need for simulation to assess trial design operating

1 characteristics.

2 So as part of that, as I mentioned, FDA and
3 sponsor discuss the disclosure elements. And the disclosure
4 elements may include the study and points to the degree
5 necessary to describe the design, trial population, sample
6 size and power determination, the hypothesis, the null and
7 alternate, key operating characteristics, assume weights for
8 the dichotomous outcomes.

9 Or, you know, mean variance for continuous
10 simulation objectives, scenarios, assumptions and modeling
11 characteristics. And critical design elements including any
12 adaptive elements, and if Bayesian approach is used, how
13 Bayesian methods are being used for design or analysis
14 purposes. How prior is chosen, discounted and what Bayesian
15 decision rules should be considered.

16 So the first meeting has the proposed agenda
17 including the time estimates, the discussion questions and
18 most importantly the detailed description of the statistical
19 methods, the simulation results and the overall conclusion
20 including a brief summary of the simulated operating
21 characteristic based on the features and analysis and
22 discussion of the utility of the CID given the simulation
23 results.

24 (Slide)

25 So moving on, the second package of course are

1 going to have much more detail that need to be discussed
2 because these were based on the feedback that was received
3 during the first meeting discussion.

4 So we test the updated background session and
5 updated programs or shells for simulations if applicable.
6 And oftentimes there are a few elements that need to be
7 discussed more if it is a Bayesian design. What are the
8 characteristics that we have to look for?

9 (Slide)

10 So here is a case example. This was actually the
11 first one that was submitted to the pilot program. And we
12 can discuss this because it was publicly disseminated by the
13 sponsor.

14 So it was submitted by WAVE Life Sciences during
15 the first quarter, and it was for treatment of patients with
16 Duchenne muscular dystrophy. And in OB, the Division of
17 Biometrics I, and OND, the Division of Neurology Products,
18 were primarily involved in evaluating this.

19 (Slide)

20 So according to the sponsor, the CID incorporated
21 placebo augmentation using a Bayesian modeling strategy. And
22 an interim measure of commensurability between the
23 historically untreated patients and the placebo data from the
24 pivotal study will govern the extent placebo augmentation and
25 the potential adaptation of the sample size and randomization

1 from CBER, FDA's CBER. Dr. Dorothy Brown from Elanco Animal
2 Health, Ms. Margie Bell from Animal Clinical Investigations
3 and our two speakers, Dr. Chakravarty and Dr. Temple. So we
4 will move on to the first set of questions, which are to do
5 with what might be the applications that come to mind when we
6 are thinking about adaptive designs.

7 We can start from that end of the table,
8 Dr. Scott. So we have 45 minutes remaining with 5 panelists
9 and 3 sets of questions. That is about 2 3 minutes per set
10 of questions per panelist. I will start waving my hand if
11 you get on to the 3 minutes. Dr. Scott.

12 DR. SCOTT: I will try to keep it brief. And I
13 would just like to second what everybody else is saying, that
14 it is a pleasure to be here. I would also like to second
15 what Dr. Temple and maybe Dr. Chakravarty said, that I know
16 little to nothing about animal investigations and can only
17 guess.

18 But I can try to address this question insofar as
19 it applies to human drugs and biologics, some of the
20 conclusions we've come to and hopefully some of it is
21 applicable to animal investigations as well.

22 So in terms of the question -- so how do these
23 questions apply to animal drugs? Thank you so much. When we
24 developed the recent draft guidance for adaptive designs for
25 drugs and biologics, there are a lot of details in it, as

1 Dr. Temple said. It is about 25 pages.

2 But we tried to highlight what we considered to
3 be sort of four key principles that every adaptive design
4 should follow. And I think it is not just adaptive designs.
5 I think it applies to all trial designs, and I believe it
6 also applies to animal investigations as well as human
7 trials.

8 So those four principles were first of all, that
9 the trial should control the chance of coming to erroneous
10 conclusions. Erroneous conclusions including Type 1 and Type
11 2 errors, false positives, false negatives, and also
12 misleading information about benefit and risk tradeoffs.

13 The second principle was that the trial should
14 provide reliable estimates of treatment effects, and this is
15 something that especially in the adaptive design space we
16 felt had been sort of under appreciated in the past, that
17 sometimes you can use adaptive techniques to try to speed
18 efficiency to get to a yes/no answer faster but part of the
19 cost of that is more uncertainty about the quantitative
20 estimate, about what the treatment effect actually is.

21 Another principle is that these designs should be
22 prospectively planned to the extent possible, and that there
23 should be detailed prespecification. We used the phrase
24 complete prespecification in the draft guidance. I know you
25 all are entering into a guidance development process. I can

1 | tell you that industry was very concerned about the phrase
2 | complete prespecification. So that is something we are
3 | taking on board as we move into the final guidance.

4 | We also talked a lot about maintaining trial
5 | integrity and ensuring that the conduct of the trial is not
6 | affected by adaptations or other complexities of the design
7 | in a way that it shouldn't be, certainly adaptation by nature
8 | changes the conduct of the trial but there are certain side
9 | effects or inadvertent consequences that you can run into.

10 | So again all of those, I think, apply to any
11 | trial. In terms of some of the features that could be used,
12 | again I don't know what is currently used in animal trials.
13 | I think that it's widely acknowledged that group sequential
14 | techniques are usable and useful in a wide variety of
15 | situations. That in particular would include early stopping
16 | of trials for futility, when it's clear that the trial will
17 | not meet its objective, or early stopping for effectiveness
18 | or efficacy when it is clear that the trial, the
19 | investigational agent, has a very large effect.

20 | I think that what I have heard is that CVM
21 | oversees trials that share something in common with a lot of
22 | what we see at CBER, which is that a lot of the trials are
23 | very small. And in a small trial, the group sequential
24 | techniques are arguably less useful. There is less
25 | information to adapt on, and you might need sort of the

1 entire trial database to get enough information about safety,
2 about risk and other parameters.

3 What might be more attractive is sample size
4 re-estimation techniques in that setting. Bob talked about
5 what we sometimes call blinded sample size re-estimation,
6 which is sort of free in the sense that it has no impact on
7 the statistical operating characteristics of the trial.

8 This would be where, for instance, you reassess
9 your impression of what the control rate is by looking at
10 pooled data. And you do that with assumptions about the
11 treatment effect.

12 There is also unblinded sample size re-
13 estimation, which shares a lot in common with group
14 sequential techniques. Here you take a look somewhere in the
15 middle of the trial, see what the current estimate of the
16 treatment effect is and revise your sample size -- usually
17 make it larger if you overestimated what the treatment effect
18 was at the design stage.

19 Another thing that might be attractive to think
20 about are studies that combine dose selection and effect
21 confirmation in a single trial. These are sometimes called
22 seamless Phase 2/3 trials but there are a lot of different
23 ways of doing this.

24 You sort of look at a trial as a multiple stage
25 process, where maybe in the first stage you are investigating

1 multiple doses. In the second stage, once you have chosen
2 one, you narrow down and try to get a confirmatory answer.
3 Does this really have the effect it is supposed to have?

4 Another thing, and this is where I am going to go
5 way out of my depth but I assume that in animal
6 investigations there are a lot of interspecies issues where
7 you have to do investigations in multiple species.

8 What I also assume is the case, is that a drug
9 that is highly potent in one species might be likely to have
10 potency in another -- maybe. Anyway, let me just cut to the
11 chase. In situations where you have large heterogeneity, but
12 some reason to believe that there are some commonalities in
13 effect across those heterogenous groups, there are techniques
14 that can be used like shrinkage estimation, where you borrow
15 information across these different components or
16 investigations.

17 I am getting a time signal from Jean so I will
18 stop.

19 DR. RECTA: Thank you. Dr. Brown, please.

20 DR. BROWN: Thank you. So I am going to bring a
21 little bit of the academic and industry perspective in. That
22 is my history from the last 25 years. And so the opinions I
23 am giving are based on that broad history and not necessarily
24 just my time at Elanco.

25 So we definitely can include when we think of

1 adding an adapt revise loop to our traditional linear design,
2 conduct, analyze. We can absolutely do that I think in a lot
3 of the studies that we think about in animal health.

4 When we talk about which adaptations could be
5 useful, so I will use my chronic pain background and think
6 about those studies where we are really trying to minimize
7 the big placebo effects that we see, for example. And so the
8 adaptation for primary endpoint selection can be really
9 valuable.

10 So for example, when we think of owner-outcome
11 assessment instruments, there are multiple validated
12 instruments out there, and any one of them could potentially
13 be valuable as a primary endpoint of, for example, a canine
14 osteoarthritis study.

15 But how any one of those instruments is going to
16 work depends very much on what particular animals are going
17 to be involved in that study, the characteristics of the
18 sites and the personnel that may be changing sites at those
19 different areas.

20 Geography, seasonality, all of these things can
21 affect these outcome assessment instruments and the ability
22 to take an interim look at your data and see which one is
23 actually one that is going to be most appropriate for what is
24 being accrued your study could be really, really, really
25 valuable.

1 When we talk about what some of the
2 limitations are, we talk about again a lot of the statistical
3 aspects but there are big logistical aspects too. So for
4 example, when we are thinking about owner consent and owner
5 information forms, if you are in a change of study and
6 change, make adaptations, mid-study, you will have to have a
7 whole slew of owner consent forms that are approved and ready
8 to go depending on which adaptation comes out the other end.

9 And then you have to make sure the correct
10 consent form is actually being used at the correct part of
11 the study so there is just complexity and detail in there
12 that we need to think about.

13 When you think about drug availability, if you
14 think about drug availability, if you get -- if you are
15 changing, if you are dropping arms, if you are adding arms,
16 if you are changing allocations to arms, making sure you can
17 predict and have appropriate availability of the drug to the
18 sites is a big deal.

19 And then as was mentioned, how you control access
20 to your interim analysis is crucially important because it is
21 so easy for subtle biases to get into the implementation of
22 the study that can then affect the integrity of the trial.

23 When I think about where to the question, kind of
24 that final question around where can these designs be
25 applied, they can be applied early, early dose escalation

1 studies, all the way through confirmatory studies for sure.

2 There are going to be some studies and they may
3 be based on safety or sample size in general, but when we
4 think of studies where outcome assessment goes over a
5 prolonged period of time, so where the endpoint is perhaps
6 survival or maybe disease modification, if most of our
7 animals are going to be accrued in the time that it takes to
8 start seeing those endpoints, then adaptation is really not
9 going to be valuable in those specific study designs.

10 DR. RECTA: Thank you. Ms. Bell.

11 MS. BELL: Hello. So I am going to speak from
12 more of a statistical perspective. So reading through the
13 human guidance, I kind of thought adaptive designs could be
14 applied lots of different ways to animal health, which is
15 really exciting.

16 I've been involved in sample size reassessments
17 for animal health studies as well as futility analyses, and
18 it is very helpful as far as the sample size reassessment if
19 we see an effect and we need to increase that sample size,
20 you know, that is a good thing.

21 But if we are not seeing any effect at all, it
22 saves animals from being treated with a therapy that perhaps
23 would not work. So as far as challenges, controlling the
24 Type 1 error rate is a very big statistical challenge but the
25 important thing to remember though is that the study design

1 needs to include the appropriate statistical methods to
2 address the adaptations that are included in your design.

3 And there are approaches: the O'Brien-Fleming,
4 Lan-DeMets, Pocock -- all of these can be used to help
5 address the adaptations in your statistical model.

6 Another challenge is estimating reliable
7 treatment effect estimates. Again these might contain some
8 bias due to your adaptations so again if your model is
9 including the adaptation, then the estimates will be adjusted
10 and perhaps eliminate some of the bias.

11 As Dr. Brown mentioned, knowing the results of an
12 interim analysis, that is a really large challenge. If we do
13 a sample size reassessment and we decide that we need to
14 increase the sample size, your sites and your investigators
15 are going to know that we have decided to increase, and so
16 this may be a potential for bias.

17 And to what type of studies for animal drugs
18 would these study designs, I like the enrichment adaptation
19 so if we had a treatment that maybe we think will work well
20 in larger dogs and smaller dogs, then we could do an interim
21 analysis and decide is it working better in the larger dogs
22 or the smaller dogs? If it seems to do well in both, then we
23 just continue, but if the larger dogs see a better response,
24 then we would just change our inclusion criteria and include
25 the larger dogs.

1 DR. RECTA: Thank you. Dr. Chakravarty?

2 DR. CHAKRAVARTY: So many of the things that I
3 was planning to touch upon have already been discussed. Many
4 times in human drugs, we have very limited sample sizes
5 available, particularly in rare diseases or specific, you
6 know, genotypes that we are trying to target.

7 So that parallels quite a bit into the potential
8 sample size issue you may see in animal drugs as well. Just
9 like others have mentioned, I have very limited background in
10 animal drugs. I did do a detail in CVM about 10 years ago, so
11 I draw upon that limited experience a little bit for my
12 discussion.

13 So in thinking about what potential adaptations
14 we might think about, sample size is not the only thing that
15 we think of when we are planning to do adaptations. It can
16 be the endpoints, as you mentioned, the population and things
17 like that.

18 So in CDER there are some adaptations where, for
19 example, a sponsor may use interim to perform sample size
20 re-estimation as you mentioned and avoid the futility or
21 inadequately powered study. On the other hand, a group
22 sequential with event-driven interim may be used to reduce
23 the expected sample size and the duration of the study.

24 It may include multiple looks that will allow for
25 dropping an ineffective arm, thereby reducing the exposure of

1 animals. A potential challenge is controlling the
2 probability of erroneous conclusions as you mentioned.

3 For example, if a group sequential method that
4 can be employed to control the Type 1 error -- you know, we
5 have used O'Brien-Fleming, Pocock, Lans-DeMets, depending on
6 what the nature of the stopping should be. You want the
7 trial to continue unless there is a resounding large effect.
8 You may allocate smaller stopping probabilities in the
9 beginning, and if you want to be equally spaced, then you are
10 going to use others.

11 So depending on the situation, depending on the
12 population and the disease that is being -- as mentioned, I
13 also wanted to discuss the integrity of the study. About two
14 years ago we had a public workshop on this topic, the entire
15 day on this topic. And the reason is if a drug is approved
16 based on an interim look, now the drug is available to
17 everyone.

18 And it is difficult to keep the arm that is not
19 on the drug, and there is some dissemination that is obvious
20 so how do you make sure the rest of the trial is still
21 interpretable? So that is a very big issue.

22 And I think CIDs can help in situations where
23 there is a limited sample size. Also when, for example, we
24 often use this in situations where you know about the adult
25 population and you want to draw a parallel to the pediatrics

1 | studies where there is not enough information. You are
2 | formally borrowing information from the adult study to the
3 | pediatric and so on.

4 | So there are multiple ways in which it can be
5 | used, I think.

6 | DR. RECTA: Thank you. And finally Dr. Temple.

7 | DR. TEMPLE: I don't have much to add. I just
8 | want to make one note of something. That some of the
9 | methodology that is being talked about now involved borrowing
10 | from past experience, and that means believing it, and I'm
11 | highly skeptical of all of those things. I believe people
12 | will select the information they want us to borrow and
13 | believe.

14 | I will be relieved of that when someone comes up
15 | with a Bayesian analysis based on adverse prior. That will
16 | resolve all my concerns. We will never see such a thing. It
17 | will never happen because why would anybody want to do that?
18 | They will try to ignore that.

19 | But a lot of the things we are talking about
20 | involve a certain amount of belief, knowledge, that they've
21 | looked at everything, and how do you know that, and so on.

22 | Even the one Aloka mentioned, which I think is an
23 | interesting use, that is you do a trial, it is a small
24 | population, so your control group, you shrink your control
25 | group by making use of past historical experience.

1 I have not seen anybody explain what to do when
2 two experiences are somewhat different. Does that mean you
3 throw them out, use them less or what do you do? Anyway all
4 of those things have to be resolved before we know what to do
5 with these things and the desire to use past experience is
6 perfectly reasonable and plausible and sensible but the
7 opportunity for shenanigans seems very large.

8 DR. RECTA: Thank you very much. In the interest
9 of time, I think that Question 3 is probably more helpful to
10 get our panel thoughts on. And we can go back to Question 2,
11 which is more of a definition question. And if you have any
12 input on Question 2 we can always get into the docket but in
13 the interest of time, I think we can skip to this.

14 So this is a question on how might we facilitate
15 the development and use of these novel investigational
16 designs. Dr. Chakravarty has talked about collaborating with
17 industry to design human studies. And how might this look on
18 the animal drug development side?

19 So for this -- let me start, if I could, with
20 Dr. Temple and then we will go the other way.

21 DR. TEMPLE: You are saying Number 3?

22 DR. RECTA: Oh, I'm sorry. Yes, Number 3. The
23 set. We call them Question Number 3 but question set Number
24 3. The set. So all of those. Questions Number 3 but I
25 think mainly the first and second bullet points are really

1 interesting to us.

2 DR. TEMPLE: Again my main problem with a lot of
3 the issues related to complex innovative designs has to do
4 with incorporating assumptions.

5 And I am not sure -- I think people need to sit
6 down and think about how they will provide assurance that the
7 assumptions are balanced, that they include all information,
8 they are not selective, and they can therefore be considered
9 reasonable.

10 For example, the question posed in here is
11 leveraging external controls needs to be an understanding of
12 potential comparability with concurrent controls. Okay, we
13 say that every time we see someone propose it. I have not
14 seen a suggestion of what to do. Mine would be, if the
15 historical control is worse than the concurrent control, you
16 throw it out, it is going to give you a false positive. So
17 just toss it but I don't have a specified standard for what
18 constitutes close enough. And someone needs to figure that
19 out.

20 Or if it is very different, you downweight it,
21 but even downweighting it, that means the concurrent control
22 is being diminished in its relevance and yet it's the most
23 obviously appropriate control.

24 So I think those things all have to be done with
25 examples. That's probably my favorite use of potential

1 Bayesian analysis, that is to use a piece of the historical
2 control along with the concurrent control but I have not seen
3 a close analysis of what to do if they're different. And if
4 they're different, they can either give you a false positive
5 or a false negative. Somebody has got to come to grips with
6 that.

7 And I haven't seen any discussion of those
8 things, and I think it is really needed.

9 DR. RECTA: Okay, thank you.

10 DR. CHAKRAVARTY: So I will talk a little bit
11 more on the partnerships. The CID pilot program is a prime
12 example of using it in a very public and transparent form
13 because you are sharing all the items that are in the
14 disclosure element.

15 And I believe that, you know, if we can -- and I
16 mentioned this in my talk as well, if you can see what worked
17 before then clearly it's something that you can expand upon.

18 Again if it's something that you have very high
19 potential for success, everyone was rooting for it, and then
20 you see that it wasn't very successful, that also gives us a
21 learning experience, this is reason why it didn't.

22 So that kind of partnership, especially in
23 noncompetitive spaces, consortia or working in a professional
24 working group. For example, for the statisticians, the
25 American Statistical Association has undertaken certain

1 | working groups. Those kinds of partnerships I believe will
2 | help quite a bit.

3 | Now to the methodology, in certain situations,
4 | Dr. Temple mentioned about leveraging external controls.
5 | Clearly it has to be dynamically discussed because if it's a
6 | skeptical prior where you are going in thinking that it will
7 | not, then the level of borrowing will be very different.

8 | And many times we come to it from a status quo.
9 | It may be a noninformative prior that you are coming into so
10 | it depends on the therapeutic needs, the patient population,
11 | all of that.

12 | DR. RECTA: Thank you.

13 | MS. BELL: So I was just going to skip down to
14 | what methods are needed such as the use of simulations and
15 | modeling to facilitate the designs.

16 | Simulations are very useful. I've written
17 | programs that generate simulations, and you can test
18 | different hypotheses to estimate your sample size, see what
19 | will happen with your Type 1 error rate. You can also, you
20 | know, calculate things to -- the treatment effect bias,
21 | confidence intervals, just your variance that you might see
22 | with the proportions.

23 | So the simulations are very useful and you just
24 | have to be sure to include your statistical model. And the
25 | statistical model needs to include the adaptation. Otherwise

1 | you are not really going to find out any information that
2 | will be useful.

3 | DR. RECTA: Thank you.

4 | DR. BROWN: So I think when we are talking about
5 | partnerships we have to come from the space where we realize
6 | these adaptive designs have been around for 25 years or more.
7 | And other than again the group sequential designs, they are
8 | not really -- they haven't become a mainstay certainly in
9 | human and obviously in veterinary medicine as well.

10 | And so the question is why is that, and the
11 | statistical literature is full of it, but when you come to
12 | the investigators and the clinical side, I think there is
13 | definitely either a lack of expertise or experience or
14 | clarity from the investigators' standpoint, the clinical
15 | standpoint about when these designs are applicable, what can
16 | and cannot be accomplished with these designs. What the
17 | practical implications of the designs are, some of what we've
18 | talked about here. And then how they are going to be
19 | interpreted and reported.

20 | And I think very importantly there is lot of
21 | concern by investigators about health funding agencies are
22 | going to view these designs, and whether they are going to be
23 | put at a disadvantage compared to some conventional design.
24 | And then of course what the regulatory bodies are going to
25 | think about them.

1 So I think if we really want adaptive designs to
2 contribute to our goals of delivering safe and effective
3 therapeutics for the many, many unmet needs in veterinary
4 medicine, you have to have kind of an all-hands-on-deck
5 collaborative call across academia and industry and
6 government agencies, the FDA. And you have to think about
7 how you work within the constraints of the systems that we
8 have.

9 So for example, in academia there are resources
10 and allocations to doing basic and applied research and study
11 design. There is very little knowledge about how to get a
12 drug to market. And then the opposite is true of course that
13 on the animal health side, there is an enormous amount of
14 resources and knowledge about getting a drug to market.

15 But there is really usually very little resources
16 allocated within the industry to doing the research that is
17 needed on study design. So you really have to think about
18 what are the collaborative joint appointments -- post-
19 doctoral fellowships, internships -- that you can do across
20 these different organizations in order to actually move
21 forward with the research.

22 I think also we need to think about forums, as
23 we've talked about. The public forums that we can have,
24 collaborative forums where you have organizers representing
25 all of those different groups to talk about the current state

1 of knowledge in these groups as well as lessons learned is
2 really important.

3 So to get investigators to feel competent and
4 confident to apply these designs, they need to understand
5 what designs have been applied in the past and look at a
6 lessons learned. What has worked well, what has not worked
7 well. What could have been done differently?

8 And when we are talking about these novel
9 designs, we have got to get them into the literature and
10 we've got to get them there in a way that we can find them.
11 So there is no indexing right now on adaptive designs, and we
12 need to put adaptive design or adaptive trial in the title or
13 in the keywords so that we can get the information back out
14 because even if it is out there, it is ridiculously hard to
15 find that.

16 So that all hands on deck includes reviewers of
17 journals, editors of journals, and we have these forums that
18 we put together now. I know in the pain space and I am sure
19 in others. We have forums where they are organized by these
20 members of these different industry, government and academia.

21 And that certainly can be done with the focus
22 being on adaptive design and what that could look like for
23 veterinary medicine.

24 DR. RECTA: Thank you. That's very helpful.

25 Dr. Scott.

1 DR. SCOTT: So I agree with all of that. I think
2 having multidisciplinary collaborations and outreach is very
3 important. Some of the onus is on statisticians to do
4 outreach on how these things work. But it's also important
5 to remember that adaptive designs, these other complex
6 designs, aren't free in a literal, financial sense.

7 These things require resources, they
8 require planning and infrastructure. They are not
9 necessarily the best choice in every situation. And you have
10 to work with your collaborators to identify what's going to
11 be the best design for the particular need.

12 We have had on a methodological level very
13 productive working groups with industry and academics through
14 the Drug Information Association or through the American
15 Statistical Association on topics including adaptive design,
16 Bayesian stuff, safety analysis. That is what we call it.
17 Just technical terms, Bayesian stuff. It's all the stuff Bob
18 likes.

19 And those interactions have been really good and
20 I think useful for the statisticians from all sides of the
21 aisle. But I think also involving the actual scientists and
22 the veterinarian investigators and the clinical investigators
23 in that process is extremely important.

24 In terms of journals and such, I want to mention
25 some of you may be familiar with the consort guidelines for

1 reporting clinical trials in the literature. I don't know if
2 those are used in veterinary literature. There is soon to be
3 published an extension of the consort guidelines specifically
4 for adaptive design, which hopefully will first of all make
5 sure that they are reported well. But also may provide a
6 framework for journal editors to understand how to talk about
7 these things.

8 DR. RECTA: All right, thank you. We have time
9 to go back to question, set of questions, the second set of
10 questions. However, there may not be enough time for
11 everyone to provide all their thoughts.

12 So what we are going to do is if you have
13 specific comments on this, if you could add them to the
14 docket, which will be open until August 17th. And we thank
15 our panel. We appreciate your input really and for your
16 presence here today. We know your time is very valuable but
17 we will keep you for a few minutes more.

18 We have time now for public comments. My
19 understanding is we have one registered speaker. If you
20 could -- where is the microphone? If you could stand up at
21 the microphone and state your name and affiliation.

22

23

24

25

Public Comment

*Audio Associates
301/577-5882*

1 *Anne M. Traas, DVM, MS, DACT*

2 *Boehringer Ingelheim Animal Health*

3 DR. TRAAS: My name is Anne Traas, and I am from
4 Boehringer Ingelheim Animal Health, speaking on behalf of
5 AHI. As animal drugs target increasingly complex diseases,
6 it is imperative to explore new methods to evaluate efficacy
7 in these diseases.

8 Regulatory agencies for human
9 pharmaceuticals now widely accept advanced clinical trial
10 designs. Some of these adaptive and alternative designs
11 could translate to the animal health arena.

12 Adaptive designs allow for prospectively planned
13 modifications to one or more aspects of the design based on
14 accumulating data from subjects while maintaining integrity
15 of the trial. These modifications may affect sample size,
16 patient population, treatment arm selection, allocation to
17 treatments and endpoint selection.

18 Potential advantages include ethical
19 considerations such as reducing exposure to placebo and
20 agility in diseases where less information is available.

21 Most importantly, adaptive designs have the
22 ability to achieve the same outcome as traditional designs
23 with fewer animals and shorter development times across a
24 series of trials.

25 While the simplest adaptation, prospectively

1 planned sample size re-estimation, has been successfully
2 applied in animal health studies and accepted by CVM, the
3 benefits of more complex designs have yet to be fully
4 realized in animal health where the number of animals studied
5 tends to be relatively small compared to human health.

6 An example of an adaptive design which would seem
7 to have ready application in animal health is the seamless
8 efficacy trial. In this design, dose selection and pivotal
9 efficacy evaluation are combined into a single study.

10 Other outcomes explored in a typical pilot study
11 like safety may also be the focus of the first phase.
12 Additionally designs incorporated an adaptive endpoint
13 selection may also have an application.

14 While less is understood about the designs of
15 this type, adaptive endpoint selection allows modification to
16 the choice of primary endpoint based on comparative interim
17 results where more than one endpoint is clinically relevant.

18 Examples of other novel and adaptive designs
19 which might have a place in animal drug development include
20 Bayesian designs, incorporating data from other sources such
21 as pilot studies, foreign data or publications. Other
22 designs such as adaptive dose finding methods for oncology,
23 complex designs for mitigation of a high placebo effect,
24 designs evaluating the effect of withdrawal of treatment
25 and/or need for rescue. And designs incorporating biomarker

1 information could also be very beneficial.

2 Applying adaptive and other novel designs in
3 animal drug development presents a few challenges and raises
4 some associated questions. Perhaps most notable of these is
5 the requirement for additional resources to conduct an
6 adaptive design. Several discussions with CVM may be
7 required before agreeing on a design potentially presenting
8 timeline constraints for both protocol development and
9 review.

10 For this reasons, we propose three important
11 questions for consideration. First, how much data from pilot
12 studies and other sources would need to be submitted to
13 support the protocol?

14 Second, will new processes be needed to
15 facilitate review of this data? Completely independent data
16 safety monitoring boards and statisticians available to
17 conduct interim analyses are standard in the human
18 pharmaceutical industry but may not be available in the
19 animal health space.

20 Third, what would be the expectations for
21 validation of any software utilized for designs requiring
22 intensive computer simulations to determine operating
23 characteristics? Might CVM access to the software become a
24 barrier to review?

25 Selection of appropriate adaptive modification

1 rules for animal health presents a particular challenge in
2 veterinary drug development because of the need to balance
3 the potential increased efficiency of adaptive designs with
4 establishing adequate safety databases.

5 Would a new approval pathway where drugs are
6 approved as efficacious with additional safety data collected
7 post-approval be worthy of consideration?

8 Implementing adaptive designs may also have label
9 implications. What effect would selecting an alternative
10 endpoint or dropping a primary endpoint or other adaptive
11 modifications have on label language?

12 In conclusion, many adaptive and novel designs
13 employed in human drug development can be appropriately
14 applied in animal health studies. Clear guidelines like the
15 FDA draft guidance for Adaptive Design for Clinical Trials of
16 Drugs and Biologics created specifically for animal drugs
17 could facilitate adoption of these innovative designs.

18 Thank you for the opportunity to comment today.
19 AHI looks forward to collaborating with CVM to consider
20 scientifically valid, adaptive and other novel designs.
21 Thank you.

22 (Applause)

23 DR. RECTA: So that concludes our first session.
24 Thank you very much again to our panelists for their
25 participation. We are going to go to a break until 10:45.

1 DR. ELLENBERG: At 10:45, we will continue the
2 discussion for the next session.

3 DR. RECTA: Thank you.

4 (Whereupon, a brief recess was taken.)

5 ***Topic 2: Real World Evidence***

6 ***Moderator: Emily Smith, Veterinary Medical Officer,***
7 ***Division of Therapeutic Drugs for Food Animals, Center for Veterinary Medicine, FDA***

8 DR. SMITH: Good morning. My name is Emily
9 Smith, and I am a Veterinary Medical Officer in the Division
10 of Therapeutic Drugs for Food Animals in the office of New
11 Animal Drug Evaluation. And I will be introducing the topic
12 of real world evidence and facilitating a panel discussion
13 following.

14 So as was previously discussed in our first
15 session, the purpose is to give you, our stakeholders, the
16 opportunity to provide us with input and feedback so that we
17 can draft a guidance that will help drug sponsors
18 successfully incorporate real world evidence into clinical
19 investigations.

20 To that end we have four sets of questions to
21 guide the discussion; however, we welcome any input that you
22 may have. And if you do not have the opportunity to speak
23 today, we encourage you to submit the information to the
24 docket.

25 So before we start our discussion, we need to

1 | define a few terms.

2 | (Slide)

3 | So for human drugs and biologics, the law defines
4 | real world evidence as data regarding the usage or potential
5 | benefits or risks of a drug derived from sources other than
6 | traditional clinical trials.

7 | In addition, within FDA's Real World Evidence
8 | program, the agency has further clarified the important
9 | distinction between real world evidence and real world data.
10 | Namely that the real world evidence is derived from the
11 | analysis of real world data, which comes from a variety of
12 | sources.

13 | And Congress has now given CVM the opportunity to
14 | actively encourage the use of real world data generated in
15 | the course of the practice of veterinary medicine to generate
16 | real world evidence, which could be incorporated into
17 | clinical studies and our regulatory decision-making.

18 | So as we seek to effectively incorporate this
19 | evidence, we would like to get feedback on a few priority
20 | questions.

21 | (Slide)

22 | First, what sources of real world data are best
23 | suited to generate real world evidence for animal drugs? And
24 | keeping in mind how real world evidence has been defined for
25 | human drugs and biologics, how should we define real world

1 evidence for making regulatory decisions for animal drugs?

2 (Slide)

3 Secondly, what challenges exist for the use of
4 real world evidence for animal drug approvals? And what are
5 the possible solutions to these challenges to enable us to
6 more readily make use of real world data and real world
7 evidence?

8 (Slide)

9 Third, in what context might real world data and
10 real world evidence be used to generate clinical evidence for
11 regulatory decision-making for animal drugs? And by context
12 we mean potentially regulatory context such as new animal
13 drug approval, a supplemental indication for an approved drug
14 or even a conditional approval. Or potentially clinical
15 context such as certain drugs or drug classes.

16 (Slide)

17 And finally what factors should we consider when
18 evaluating real world evidence for animal drugs? What do we
19 need to consider when evaluating the real world data and
20 methods used to generate this real world evidence?

21 (Slide)

22 Before I turn this over to our speakers, we
23 wanted to highlight the work that is being done across the
24 agency on this topic. For human drugs and biologics, the 21st
25 Century CURES Act required FDA to establish a framework for

1 implementing a program to evaluate the potential use of real
2 world evidence to help support approval of a new indication
3 for an approved drug or to support or satisfy post-approval
4 study requirements.

5 Because of this framework that is being
6 established, there have been a lot of great resources
7 published by FDA in response. And we may be able to leverage
8 some of this work and jump-start our progress in this area.
9 But at the same time, the guidance and processes that we
10 develop for new animal drugs need to be tailored to the types
11 of real world data available for drugs for veterinary use and
12 the types of real world evidence will also need to fit the
13 regulatory needs of new animal drugs.

14 (Slide)

15 And finally we wanted to share a couple of
16 examples of where CVM has already started using real world
17 data and real world evidence in our regulatory
18 decision-making.

19 So for example, sponsors have provided CVM with
20 real world data as part of the justification for selecting
21 their drug's dosage or to justify elements of clinical study
22 design.

23 (Slide)

24 And we also have one example of where real world
25 evidence was included as part of a study that provided

1 substantial evidence of effectiveness for a new animal drug
2 approval.

3 Included as one of 21 studies in a systematic
4 review and meta-analysis, we had one study that provided real
5 world data from clinical records of practices specializing in
6 dairy cow reproduction. And these records were compiled in a
7 retrospective study.

8 (Slide)

9 So we are excited and looking forward to
10 expanding the use of real world data to generate real world
11 evidence for animal drug approvals, and have two speakers
12 that we are going to hear from today who will also
13 participate in a panel discussion following their
14 presentations.

15 Our first speaker is Dr. Elizabeth Lund, who is
16 president of the LLC Data Dogs. And our second speaker is
17 Dr. Laura Hungerford, who is head of the Department of
18 Population Health Sciences at the Virginia Maryland College
19 of Veterinary Medicine, Virginia Tech campus.
20 So I will invite Dr. Lund to come and give her presentation.

21 *Invited Speaker No. 1*

22 *Elizabeth Lund, DVM, MPH, Ph.D.*

23 *President, DataDogs, LLC*

24 DR. LUND: Thanks, Emily. I am really thrilled
25 to be here. It is over 25 years that I have been working

1 with real world data. Can everybody hear me okay? Okay.

2 And so when I was doing my Ph.D. 25 years ago in
3 the early '90s, it was funded by the Pew Foundation. And Pew
4 was looking for options for experimental research using live
5 animals.

6 And so it was over 25 years ago that again we
7 were looking at this idea for animal welfare purposes. But I
8 think now it is definitely an idea whose time has come, and
9 for a lot of other reasons as well.

10 (Slide)

11 So just to give a framework for what Emily has
12 already given our background on, what I am going to address
13 when I go through on the companion animal side, and just to
14 let you know, pretty much everything I am going to be talking
15 about is dogs and cats, so small companion animals.

16 Although there are definitely examples, say, for
17 horses, of some population-based studies. My experience and
18 my comments really are going to be specific to dogs and cats,
19 so small companion animals.

20 So really the goals and why we want to evaluate
21 the potential for using real world evidence driven by real
22 world data -- I can't say those very quickly -- are to
23 accelerate and innovate medical product development. Create
24 efficiencies, especially for the R&D process. Efficiencies
25 not just in research but in use of animals in the process for

1 approving drugs.

2 Support approvals of new indications for drug
3 use, and then also post-approval studies, post-surveillance,
4 post-marketing surveillance.

5 (Slide)

6 And I think it is really important -- some of you
7 are probably aware of these trends but just to set some
8 context. So over the last couple of decades there have been
9 some really important changes in the veterinary services
10 profession. So where largely, say, 30 years ago
11 veterinarians went into private practice, and all practices
12 were basically small-business owners, there has been
13 increasing acquisition and consolidation by corporate players
14 in the veterinary services market.

15 So starting in the late '80s, early '90s with
16 VetSmart, Banfield, now Mars PetCare, there are multiple
17 large practice networks. Although there is not a real
18 specific number of how many practices are out there and how
19 many of those are corporate owned, there are probably about
20 30,000 practices, and anywhere from 10 to 15 percent of those
21 practices are corporate practices.

22 And this trend will continue. So for a lot of
23 the reasons we are talking about the efficiencies that can be
24 gained by using real world data and real world evidence.
25 There are efficiencies from a business perspective in terms

1 of buying and purchasing, when you consolidate and create
2 practice networks. There are at least two practice networks
3 with over 1,000 practices in them. And this trend is likely
4 to continue for the next 5 to 10 years.

5 Nobody expects that there will no longer be
6 private practitioners in place but the trend right
7 now -- there is a lot of venture capital and a lot of
8 practices are being consolidated. And with that trend, not
9 all practice networks but a lot of the large practice
10 networks have a single -- this is specific to what we are
11 going to be talking about -- electronic health records. They
12 have a single proprietary electronic record system.

13 So all practices, say, in a over 1,000-practice
14 network are operating in the same practice management system,
15 the same electronic record system. So you can imagine from a
16 standardized process perspective and a data capture
17 perspective, there are great efficiencies and potential
18 there.

19 That being said, within those system, unlike in
20 human medicine, there is not a single standardized system for
21 nomenclature. Basically nomenclature is just coding or -- it
22 is a common language for describing what happens in a medical
23 encounter. So diagnostic nomenclature. ICD is a common
24 standard used on the human. There are standards developed
25 for veterinary medicine but they have not yet been engaged on

1 a wide level across practice networks.

2 The other thing, and I will continue to talk
3 about this, especially when I talk about the opportunities
4 and our challenges, is rarely -- these systems are used to
5 provide veterinary care. So what's important is the pet in
6 front of the veterinarian and the veterinary team taking care
7 of that pet.

8 And so those systems -- the data goes in and the
9 data is coming out or supporting clinical care. It is not an
10 R&D data capture system. They are not asking research
11 hypotheses of these systems.

12 So it's really important, again, to understand
13 why the system is in place, how it's used and how people
14 interact with that system.

15 And then the third thing that I think totally
16 makes sense when you consider how these systems are used is
17 that outcomes are not consistently captured in these practice
18 management systems, the electronic health record systems.

19 You get a patient who comes in with a fever,
20 fever of unknown origin. Maybe it's a UTI. You don't know.
21 But you give that cat or dog antibiotics. Well, that pet
22 doesn't come back in two weeks necessarily even if there is a
23 response to therapy and the pet is better. It is likely not
24 to come back to the practice if it has resolved and it has
25 gotten better.

1 So from R&D and the product development
2 perspective, understanding that limitation. I think there
3 are, and I will talk about this more, I think there are some
4 great ways we can create hybrid designs so we can use, for
5 observational research, electronic records and then create
6 other sort of outcome assessment into those studies.

7 (Slide)

8 So really what I did is I just took sort of from
9 the guidance on the human side and what Emily, as we worked
10 to put this together, I just sort of took what we know on the
11 human side that has been done, and there is potential, and
12 has been used. Where we have the most experience, and where
13 I think the most potential, especially short-term, is.

14 So it's just a really quick and easy schematic.
15 Just dogs. So the more dogs the better. So there is a lot,
16 there has been a lot of research done with electronic health
17 records, population research, observational research,
18 epidemiologic research -- whatever you want to call it --
19 with electronic health records in veterinary medicine.

20 Medical claims and billing is a little bit more
21 complicated. Insurance in the United States, you know, 3
22 percent at the most of all pets are insured. Probably about
23 half the population of pets in Sweden are insured. And so
24 there has been some really good population research using
25 claims or insurance databases in Sweden. And I think there

1 is a lot of potential.

2 Even if you have a smaller, say, population to
3 which you are getting the data, if you can, in a valid way,
4 say that population is like the greater population even if
5 they are uninsured, I think there is still value in that
6 evidence and in that data.

7 I think where we are probably more limited, but I
8 think it's an important part of our journey in this area, is
9 with product and disease registries. And again keep in mind
10 those are just -- usually just what epidemiologists call
11 numerator data.

12 So we really don't know the population at risk.
13 We know those, say, for adverse events who were affected or
14 for registries, who has the disease. So coming up with a
15 control population is more complex and super-important.

16 So again just comparing contrasting again on the
17 human side with veterinary medicine, observational studies.
18 We have a really fair amount of experience in this area now.
19 And there is also a group -- and I have them on a slide later
20 on -- a group called Vet Compass in the UK. And they are
21 actually a network of over 1,000 private practices, and they
22 pull data out of the back end of a system. They're also
23 going to Australia.

24 And they use -- they don't have standardized
25 nomenclature either but they have a lot of grad students who

1 do some machine learning and coding of outcomes and diagnoses
2 on the back end. And they've published quite a bit, Vet
3 Compass.

4 And I think I mentioned hybrid designs around
5 outcome assessments. So I think there is great potential. I
6 only gave them one dog because I don't know if we have much,
7 if any, examples of that so far but I think there is great
8 potential.

9 And then and from the data perspective, claims
10 data, I mentioned limited insurance databases but electronic
11 health record and then lab data because really there are two
12 big labs, veterinary labs in the United States.

13 And that data again, with those networks, is
14 standardized and is a big potential. Pharmacy data, I don't
15 have a lot of experience or know how that has been used a
16 lot.

17 (Slide)

18 So I wanted to give you a couple of examples, one
19 of comparative effectiveness. And this was a study of canine
20 heartworm medication called ProHeart 6. And it was compared
21 to Ivermectin. ProHeart 6 is an injectable. Ivermectin is
22 oral, and then there was a control group.

23 And this is from a practice -- there are about
24 500 hospitals, 12 million records, in each of the arms of the
25 trial about a quarter million dogs. It was a retrospective

1 cohort so they went back in time in the electronic health
2 records of a general practice, primary care practice. And
3 then they looked over time for the effectiveness of
4 ivermectin compared to ProHeart.

5 And what's really fascinating is that there are
6 slight differences in effectiveness, 94 percent and 96
7 percent, but when you looked at the experimental research
8 that supported efficacy for these two drugs, it was 100
9 percent. But those are experimental, very controlled,
10 clinical situations.

11 And so what I loved about this was this was a
12 real study, real world data, real word evidence. These were
13 pets coming to see general practitioners. And this reflects
14 that effectiveness, reflects sort of real world -- there is
15 compliance. You can see the difference between ivermectin,
16 which is oral -- more challenges to oral administration of a
17 drug versus an IV preventative that was given by a
18 veterinarian and lasted for six months.

19 So on the basis of this study -- and so this
20 study was a response to voluntary withdrawal of ProHeart. I
21 am sure a lot of you know the story. There was also a safety
22 study done. The company also did -- made changes to the
23 product, and based on a number of things it was reintroduced
24 in the market in 2010 I believe, and I think ProHeart 12 just
25 this month was approved by FDA for use in dogs.

1 So I think it was a great example of how you can
2 use real world data, real world evidence in a very impactful
3 way. Because compliance is so much better in an injectable
4 drug, you are helping a lot more dogs be protected from
5 heartworm disease.

6 (Slide)

7 The next study, again with this same general
8 practice, primary care practice, millions of dogs and cats
9 seen every year, this is a great example, although it didn't
10 actually get instituted. It was a partnership with UC Davis.
11 Phil Kass is an epidemiologist. And they created a novel
12 algorithm for doing syndromic surveillance.

13 And imbedded in the records of this general
14 practice for this dataset for this study there was -- these
15 were for food-borne outbreaks in aflatoxin and then a
16 salmonella. And the syndromes could be based on clinical
17 signs, laboratory tests, biochemical tests.

18 And so with the imbedded alerts, and the
19 investigators at UC Davis were blinded to these, the timing
20 of them, they were following them, they created sort of 90-
21 day rolling averages to detect peaks. Alerts were generated
22 on the day, on the first day of both outbreaks.

23 So again it was manually conducted and then the
24 next step was to go to an automated process, which without
25 additional funding we didn't move forward. But it was a

1 | great example of using -- again you have both numerators and
2 | denominators when you are following a real-live population of
3 | pets over time. So you don't -- in adverse event reporting,
4 | often all you have is the numerator, the pets that were
5 | affected, and you don't know the whole population from which
6 | those pets come.

7 | (Slide)

8 | So I have sort of touched on these things but
9 | what I really think -- in a way, it has always been obvious
10 | to me I think as an epidemiologist so that is my own admitted
11 | bias -- is that one of the greatest opportunities and
12 | advantages is when you are doing research in the population
13 | to which the results of that research are going to be
14 | applied, it is much more generalizable and valid.

15 | You think back to the heartworm example. Well,
16 | in a very controlled, not-real environment, they were getting
17 | 100 percent effectiveness or efficacy of those products. But
18 | when you actually use those by veterinarians, real vet teams,
19 | real clients, real pets, especially with an oral product --
20 | with an injectable your compliance is better -- with both of
21 | them the efficacy was not the same as it was in a controlled
22 | clinical environment.

23 | I think there are just great sources of --
24 | besides electronic health record data there is laboratory
25 | data, there are existing large data sets for pets, for small,

1 companion animals. So again, just going back to this
2 efficiency of existing data, again understanding that none of
3 that data was collected specifically for research but you
4 kind of have to balance, you have to bring rigor, but you are
5 balancing with reality.

6 And there are advantages then when you then apply
7 those results to the population from which those results are
8 generated.

9 We talked just briefly about the post-marketing
10 surveillance study and syndromic surveillance. The power
11 again of finding a whole population. You have the
12 denominators. You know who the whole population at risk is.

13 You know, vomiting, diarrhea happens a lot. And
14 when you have adverse events and only have a numerator of
15 vomiting or diarrhea, well, you don't know -- a lot of pets
16 get vomiting and diarrhea, especially young dogs, who eat
17 everything.

18 So it gives you a context, it gives you a power
19 of understanding that you don't have again when you just have
20 numerators.

21 And I talked about a lower insured percent of
22 insured pets compared to the human side. Basically
23 everybody is insured. But one of the things that has always
24 been fascinating to me about the lack of insurance on the pet
25 side is that on the human side, often, you can imagine that

1 when you are coding or when you're trying to optimize
2 revenue, it may influence how you use a coding system to code
3 human diagnoses to capture -- for medical claims.

4 Because we have such a low percentage of dogs and
5 cats that are insured, that is a bias that we really don't
6 have to worry about. So I have always found that
7 fascinating.

8 (Slide)

9 So our challenges, and nothing real comes without
10 challenges, I've talked about standardized diagnostic
11 nomenclature, and although one exists, there is a secretariat
12 for SNOMED, which is a diagnostic nomenclature. So in human,
13 on the human side, there is a veterinary secretariat and a
14 team at Virginia Tech.

15 And they've created a subset or an extension of
16 SNOMED for companion animal, general practice, specialty
17 practice and equine. And the real challenge -- a lot of
18 software practice management systems have imbedded those
19 codes, that system into their software but there is not
20 widespread use, and I will get to this, my last bullet, which
21 is what is the value proposition for a veterinarian to
22 consistently capture diagnostic codes in their records?

23 Although it would mean a lot for us as
24 researchers and epidemiologists, what is the value
25 proposition for them? I mentioned at the beginning two

1 | medical outcomes, and I think they're inconsistently
2 | captured. It doesn't mean there can't be biomarkers,
3 | laboratory tests, things that are used. But I think that's a
4 | bit of rigor from a population research standpoint that I
5 | think we'd have to be really thoughtful about as we hopefully
6 | use more and more observational designs in this area.

7 | Another big challenge but I think technically
8 | it's not as challenging maybe as it has been is
9 | interoperability. And all that means is practice management
10 | systems to be able to talk to each other.

11 | So within a big practice network, can all
12 | those, a thousand hospitals, can they talk to each other?
13 | Can you go to a hospital anywhere in that network and have
14 | all your medical record data available?

15 | We know just as consumers ourselves of human
16 | medicine, a lot of times our records -- we may see a
17 | specialist but our GP might not have the results. And that's
18 | also true on the veterinary side.

19 | So I think ultimately that -- I think for moving
20 | technically to solutions around that but that challenge
21 | exists for veterinary medicine as it is human medicine.

22 | And then I talked about the value proposition,
23 | and that's across many stakeholders but when you think about
24 | electronic health records, they are for the purpose of taking
25 | care of a pet.

1 And the time is always squeezed for any
2 veterinarian in an exam room as to what data they put in and
3 whatever they have to do to that data to get it in a
4 management system is only -- the best value for them is how
5 they're going to take care of that individual pet not how we
6 are going to aggregate data across a population to analyze in
7 a regression model. They don't care about that.

8 So I think we have to create -- what value is
9 that research then for them? And ultimately that can
10 motivate them.

11 (Slide)

12 So this is a little messy as a slide but the
13 future is always a little messy. There are a lot of
14 potential devices that we can capture data from. I have a
15 slide up there with a little puppy with a wearable device and
16 an activity monitor, accelerometer.

17 There is great potential in that data. It has
18 not been validated yet so there is a lot of important work
19 that has to be done to validate those activity monitors to
20 specific outcomes. And they are probably very specific to
21 certain disease. There are dashboards, there are pet owner
22 apps that would be a huge source of data. Genetic data. I
23 have a little icon there for Vet Compass, which I think they
24 are doing some amazing things.

25 And then there is data across this whole what we

1 call the pet eco system. The groomer, the boarding facility.
2 And again, technically, as our whole world gets more and more
3 connected, whether we want it to be or not, I think a lot of
4 these technical challenges will get solved.

5 Interoperability won't improve but I think it's
6 really critical within agencies like FDA, academia and
7 industry, we have to start building the capacity and the
8 infrastructure to leverage this data.

9 It's a different skill set, it's a different
10 paradigm, it's a different way of looking at the world. And
11 again, maybe as an epidemiologist I have always thought
12 differently about the world but I think there is a time, like
13 I said. We really need to bridge sort of the rigor of what
14 population methodology can bring with reality. And I think
15 we're all winners in that.

16 And I think there were brave discussions in the
17 first panel about partnerships, consortia, collaboratives.
18 There are multiple stakeholders who can benefit from use of
19 real world evidence and really the sky is limit.

20 (Slide)

21 I am going to leave you with one of my favorite
22 quotes from Ram Dass. And I thank you for your attention.

23 (Applause)

24 DR. SMITH: So our next speaker is Dr. Laura
25 Hungerford.

1 *Invited Speaker No. 2*

2 *by Laura Hungerford, DVM, MPH, Ph.D., CPH, FNAP*

3 *Virginia-Maryland College of Veterinary Medicine*

4 DR. HUNGERFORD: Hello. Thank you first for the
5 invitation and the opportunity to be a part of this. I think
6 it's a great opportunity to ask a question that is already
7 dealing with things that are happening and to communicate
8 about it.

9 I had the opportunity to be a senior advisor for
10 science and policy for FDA until about three years ago. So I
11 got this glimpse of the really amazing stuff that is going on
12 inside of FDA and particularly within CVM that most people
13 don't get to see.

14 And so one really exciting part about this
15 meeting and about the guidances are that it really is going
16 to bring more into the open what is going on, and the kinds
17 of thoughtfulness and new ideas that only sponsors get to see
18 and people that work at FDA. And so it will increase the
19 visibility I think in a way that is critical to how we make
20 better efforts to improve animal health.

21 (Slide)

22 So the fundamental, no matter what kind of
23 evidence we are using anywhere in animal health, the
24 fundamental important piece is the necessity to weigh
25 evidence in order to make our decisions. And it doesn't

1 matter if evidence comes from laboratory studies, from
2 randomized controlled trials or from other parts of real
3 world data. They're all evidences that it is important to be
4 rigorous and think about in our decision-making.

5 Now for epidemiologists in academia and other
6 places, we can be a little more wild and free about how we
7 make our comparisons and what we do. But fortunately for
8 drug approval, the guidelines that are out there, the
9 regulations, and the law for FDA, prescribe that it's
10 important to make good decisions and look carefully at the
11 evidence.

12 And sometimes they may be overprescribed, but it
13 really is with that goal of making sure the comparisons are
14 good.

15 Now when we look at real world data and real
16 world evidence, there is no reason it can't fit into this
17 framework because we should be rigorous in saying what can we
18 really conclude. But the place that's important I think not
19 to be caught is to come up with a guidance that prescribes
20 specifically the approaches that need to be taken to answer
21 these questions because we don't even know how these data
22 will be able to be collected in the future but we do know how
23 to think about making good evidence-based decisions.

24 (Slide)

25 So in the traditional way of looking at new

1 animal drugs as well as new human drugs, we deal with new
2 chemical entities. And ultimately in weighing scientific
3 evidence, the critical thing is to say what's going to happen
4 not when we are doing studies to submit or reviewing studies,
5 but what is going to happen in the real animal populations
6 after this is approved?

7 And so the only way to really do that is to do
8 experiments and to use experimental data to try to make that
9 inference to another population that may be close or may be
10 different. Some of the things that Dr. Temple talked about
11 this morning are ways to better do these trials but they pick
12 animals or people that are more and more homogenous and maybe
13 more and more different than the populations in which they
14 will eventually really be used.

15 So the opportunity here is with certain kinds of
16 entities that are already out there, the drugs are already
17 being used in animals and people. And most particularly
18 these would be already unapproved animal drugs that are used.
19 It may be coming up with new indications for drugs that are
20 already out there, adding a class or an age or a type of
21 species to a drug. Or drugs that are in the process of
22 conditional approval.

23 Here we're not bound to ignore what is happening
24 in the real world but we can have data from the real
25 populations and not be stuck trying to infer to a population

1 we've never seen what is happening and we are trying to get a
2 little glimpse of that.

3 So real world data and real world evidence are
4 ideal in these situations because they already exist, and to
5 put things into a traditional paradigm, we'd have to ignore
6 some of the most valuable information out there.

7 So we can actually ask what is happening in the
8 population? Of course the caveat, particularly as Dr. Lund
9 was talking about, is we may not always be measuring what is
10 happening in the real population so one of the challenges
11 with real world data is to have things that are measured in a
12 way that is reliable and that we can use in decision-making,
13 which in the practice of medicine or in maintaining animal
14 health in herds, is not always a top priority.

15 But I think it's becoming more and more the case
16 that people want to have answers when they have records and
17 so I think we are moving that way, and so it is good that
18 this discussion is happening now.

19 (Slide)

20 Now although this public meeting is new, this
21 idea of weighing real world evidence is not new at all.
22 Within FDA there is a strong basis of guidances already from
23 some of the other parts of the agency. So this puts CVM in a
24 great position because they can look at this baseline of all
25 the thousands of hours of work that other people have done on

1 | their behalf and then pick the best pieces of it and modify
2 | it to work well.

3 | They can also look at the things that are maybe
4 | not working so well and not have to go down that path but
5 | rise above it. So these are really powerful. In addition,
6 | there are partnerships that the animal side can be a part of
7 | that the human side is already doing. And you heard
8 | descriptions this morning of some of the pilot projects that
9 | are looking and preventing more external information on some
10 | of these new designs that are being used.

11 | So that's a great opportunity to share what's
12 | going on in a more structured way because having been inside,
13 | as I said, some of the things that sponsors are planning,
14 | doing and working with FDA to do on the animal side are truly
15 | amazing and are really very efficient and effective in
16 | answering better questions to move toward animal health.

17 | (Slide)

18 | Now one of the problems I think that we can get
19 | into with real world evidence and real world data is that
20 | we're so used to doing randomized controlled trials that
21 | instead of asking how do we weigh the evidence to decide if a
22 | drug is safe, effective and is of high quality? We can start
23 | to say, well, how does the real world evidence match up with
24 | a randomized control trial that's kind of the best strategy
25 | that we've used in these more experimental methods?

1 But randomized-controlled trials, even if you
2 think about what is the best kind of design, is not at the
3 top of strength of designs. It's really those designs that
4 are systematic reviews or meta-analyses that pull across
5 multiple, multiple studies in different populations and
6 include generally real world and experimental data that are
7 the strongest.

8 And so I think while randomized-controlled trials
9 have lots of strengths because of the randomization and the
10 controls, which are very important, they also have their
11 limitations. So just to remind you of proceeding cautiously
12 with randomized-controlled trials, especially sometimes when
13 we are trying to do them in animals when they're not very
14 large. We know that there are a lot of limitations.

15 Some of the design enhancements talked about this
16 morning make the populations more homogenous so that you can
17 find the effects better but maybe make the humans or animals
18 less generalizable.

19 So maybe we find a good result of the clinical
20 trial but maybe it doesn't apply to the population we are
21 going to use it in, in the end. I think we work as hard as
22 epidemiologists and in regulatory agencies to try to make
23 those two match up, but you can see on the human side more
24 and more Phase IV trials that look at how does this really
25 work?

1 I think Dr. Lund gave a great example of the
2 trials of ProHeart and ivermectin, and then what happens in
3 the real world, and does that match or it doesn't. In that
4 one, it matched well. But in other cases, it may or may not.

5 For new chemical entities, there is really not a
6 choice because you need something to infer what will happen
7 in the population. But with things that are already out
8 there, we can overcome some of these problems perhaps by
9 relying more on real world data and including those kind of
10 evidence for both effectiveness as well as safety.

11 (Slide)

12 One of the biggest concerns I think for looking
13 at safety that we have the advantage of real world data to
14 help overcome are the small sample sizes that we have in
15 studies. So even if we have a study with very, very few
16 problems or safety effects, if you think of the very small
17 number of animals and the confidence we have about that and
18 then multiply it out to the number of animals that it will be
19 used in, we really don't have a lens in a small study for
20 really knowing how safe it will be in the end.

21 And so real world data give us ways to look at
22 these kinds of things.

23 (Slide)

24 Now if we are going to move away from using just
25 randomized control trials or just experimental data in

1 animals, which you can see is kind of toward the bottom, it
2 still doesn't move away at all from this ability to
3 constantly remember we are weighing scientific evidence and
4 we have to do that well.

5 And as you move into observational studies or
6 other sources of data, it becomes more and more important
7 that you really have well-designed hypothesis-based studies
8 that recognize all the potential biases and problems that can
9 be in the data that you're looking at.

10 There are a lot of publications on the human side
11 that guide people through learning and thinking about biases.
12 How in one kind of study, one thing will be a bias. In
13 another population, the same kind thing that was a bias might
14 become a strength.

15 So it really takes an individualized -- what is
16 my hypothesis, what are the biases, and how do I work with
17 epidemiologists, statisticians and clinicians and a whole
18 group of others to balance those and come up with the best
19 design to get at the question.

20 And the critical thing, often as epidemiologists,
21 we may have a database and we go looking for what are
22 interesting hypotheses to generate. Or we go fishing, which
23 is not something that can be used in putting together
24 evidence to look for the important outcomes of effectiveness
25 in studies.

1 (Slide)

2 Now as I said, this is not something new. So
3 here is an article from 1999 by Dr. Temple, who spoke this
4 morning, actually looking at epidemiologic studies in drug
5 development. I don't know if he is still here to say he has
6 been thinking about this a long time but thinking about it
7 well.

8 You've heard a couple of examples. There is the
9 example of Folltropin that was approved, and if you look at
10 the FOI, you can see the different kinds of studies that were
11 incorporated in part of that. Commissioner Sharpless talked
12 about the novel approaches for fish that Dr. Storey has been
13 using. So there are a lot of these great ways -- this is
14 already happening without being able to tell you until they
15 work with the sponsors to talk about the specific information
16 and follow up.

17 (Slide)

18 And then for safety, we did a systematic review
19 that looked at potassium bromide and actually found that the
20 data that were out there were much richer, much fuller and
21 much more complete than what we would have found in a
22 traditional target animal safety study that would be done
23 with a limited number of animals.

24 So these things are already being done. It is
25 just a matter of moving it to making it much more viewed.

1 And how do we do that? I think there are three ingredients
2 that we need in order to successfully move this forward.

3 (Slide)

4 The first is recognition of the strengths and
5 weaknesses of animal electronic health records, which Dr.
6 Lund talked about a lot. These are becoming more and more
7 widespread. But again they're not designed to do a clinical
8 trial or to do an observational study for drug approval.

9 So there are limitations but I think thinking
10 about what those are, what we can do with them and how we
11 might be able to use them -- this is the perfect time for
12 that.

13 Additionally I think as veterinarians and
14 practitioners and academicians become more aware and these
15 records become more widespread, those same questions of
16 comparative effectiveness and what are the underlying factors
17 that drive health and drive safety are going to be more and
18 more approachable by people and practice as well.

19 So I think the databases may adapt themselves to
20 allow these questions to be asked better.

21 On the food animal side, where we're
22 fundamentally managing health and efficiency in populations,
23 these databases may be more applicable because people are
24 already trying to use them for decision-making.

25 And when you put them together, for example, with

1 feed lots that have specific treatment SOPs, they want to
2 follow up, they want to know the costs, they want to know the
3 effectiveness, I think we already have databases that are
4 proprietary but that take us in this direction.

5 And there are more and more, with more distantly
6 accessible all the time.

7 (Slide)

8 And so when we think about these kinds of
9 records, being able to supplement them with a wide range of
10 other kinds of sources of collection I think is one of the
11 other huge advantages of real world data.

12 We know, for example, especially when we work on
13 livestock, that even collecting the data on how a drug is
14 working, we have to run them through chutes. We have to
15 handle them in ways that probably introduce another effect
16 into what's happening.

17 And so the ability to have wearables, facial
18 recognition in cattle, monitors that talk about how they are
19 moving around and are they well or not, swallowables -- all
20 sorts of ways that we can measure real world data and put
21 that together to get a much more complete picture.

22 And even to follow up, as Dr. Lund was saying,
23 animals that -- maybe they don't come back in but maybe their
24 collar sends us what happened with their temperature and
25 their well-being and their movement or their pain levels

1 | because of how they moved.

2 | (Slide)

3 | One of the big things though is to get these
4 | records to talk to each other. So ironically when we are
5 | talking about population records, we still treat the
6 | databases as pets instead of populations. We don't have them
7 | work together, be a greater whole and talk to each other.

8 | So one of the things that's going to matter in
9 | the long run to get real inferential and generalizable
10 | ability is to have better connectivity between these data
11 | sources and be able to share better. Not just I have records
12 | in one lot and someone else who is a totally different,
13 | noncompatible system but how does this work in different
14 | places across the country or across the world?

15 | (Slide)

16 | Another ingredient: We may have all this data,
17 | these data, but to turn them into evidence we need people who
18 | can work with the data and who do this and think about biases
19 | and ways of analysis as just what they do every day.

20 | And so this brings engagement of the veterinary
21 | epidemiologist as being something important. And earlier, I
22 | think Dottie had mentioned that academicians and people doing
23 | drug research often don't talk to each other and don't share
24 | their knowledge and don't work together even though we are
25 | asking the same questions.

1 So there are a number of organizations but I
2 think engaging this group, they are huge resources for that
3 transition between real world data and real world evidence.

4 And they're aware of the dangers, they're aware
5 of the strengths. They think about bias all the time. And
6 in fact they think and talk about bias not only in their work
7 but if you get a bunch of epidemiologists together over a
8 beer, they are talking about data and data sources and
9 coupling them and biases. So they think this way all the
10 time.

11 So I think it's an untapped resource that can
12 really help with the stringent study designs and help with
13 how do we do this and make these into evidence in really
14 creative ways. And also spell out the dangers. Where should
15 we not ask a question? Where do we have a question and we
16 need a totally different type of data? And then how creative
17 can it be, especially when you look at the new group of
18 graduate students and people coming up who thrive on
19 monitoring things in very strange ways.

20 (Slide)

21 And then the last ingredient I think is CVM
22 itself. So I told you, having been able to share in this
23 environment -- the knowledge, the expertise, passion and
24 experience there because usually when we communicate in
25 science, it is publications, and that's not their mandate.

1 Their mandate is to approve drugs, look for safety, and that
2 doesn't get shared outside except to sponsors.

3 And so an ability to have a better understanding
4 of the great stuff that is going inside and to know what
5 opportunities that pose I think is a critical part of this
6 ability to make this happen and to engage in that way.

7 And actually they have lots of avenues for
8 feedback, and they have lots of preliminary types of meetings
9 and places to bring ideas and have discussions, as were
10 talked about for the other parts of the agency this morning.

11 So that's a really important ingredient as well,
12 and is probably the key to this whole thing but it's also the
13 best-kept secret.

14 (Slide)

15 So in summary, I think it's really important as
16 we go forward in this area, and in thinking about putting
17 together a guidance, that it really focuses on the questions
18 and the evidence and how you think about that rather than
19 saying it must be this, it must be this, it must be that.

20 Because about the time that gets written, the new
21 ways of collecting data, the new ways of integrating data,
22 the new things we haven't even thought of will be coming out.
23 So it needs to go back more to this: How do we weigh the
24 evidence? How do we come up with a critical question and
25 then what is the right group to put that together?

1 And I really liked this morning when Dr. Brown
2 mentioned that the way to take advantage of all these new
3 designs, and probably everything else we talk about today was
4 an all hands on deck collaborative call.

5 So I think that really is the message for making
6 a successful guidance. How do you have those discussions?
7 How do you take the best new ideas and use them with this bar
8 of seriously weighing the evidence to come to conclusions
9 that we can put our faith in? So thank you.

10 (Applause)

11 DR. SMITH: Okay, so as our speakers have
12 addressed the priority questions in their presentations, we
13 are going to follow with a panel discussion with some
14 additional questions that dive a little bit deeper into the
15 topic.

16 ***Panel Discussion***

17 DR. SMITH: So my first question for you both is
18 what do you think will be the most viable sources of real
19 world data to generate real world evidence for animal drugs?

20 DR. LUND: I think there are going to be multiple
21 streams but I think the most potential that's been proven and
22 demonstrated, and we should definitely with some sense of
23 urgency continue to build on, is electronic health record
24 data and laboratory data because I think by volume and --
25 although there is more work to be done around

1 standardization, I think we've got large repositories of that
2 data but at the same time in parallel I think we need to work
3 on the activity monitors, the app data.

4 All those things are going to be important. I
5 think those are all additional parallel work streams to the
6 electronic health record and lab data.

7 DR. HUNGERFORD: And I would agree. I think
8 that's true in a general sense. I think particularly
9 important though is to look at what is the key question we
10 need to answer? What do we already know? And sometimes
11 there is just one little piece that we still need to know.

12 And so maybe finding what would be the ideal
13 source of those data. Do they exist someplace or how is the
14 best way to capture that and put it together with everything
15 else because I think often we ignore everything that's
16 already out there.

17 And so doing an analysis first to say what do we
18 know and what do we still need to know to have the right
19 evidence. And then finding that evidence even if it's
20 something very strange or measured in a weird way. As long
21 as it's filling in the gap in the rest of the piece, I think
22 it becomes critical that way.

23 And I don't think we know what those future ways
24 of data collection could be. Often what we study I think are
25 things that are measurable and we are satisfied with

1 measurable, maybe not as much as optimal.

2 And so maybe even in some ways -- I know on the
3 human side, they are looking at patient-oriented outcomes and
4 things like that, figuring out what is the best way to answer
5 this question. Maybe it's something we've never measured
6 before but maybe in the future there is a way to measure it.

7 DR. SMITH: Okay. Our next question for you is
8 what factors should we, as FDA, take into account when
9 evaluating a source of real world data?

10 DR. LUND: There are a number of points in this,
11 and this is something I probably could talk ad nauseam about.
12 I think it's so important to understand the context and so I
13 talked about these proprietary electronic record systems.
14 You need to understand the operational source or operational
15 context for how that data is entered, the system that was
16 developed by human beings for -- we talked about primarily
17 clinical care.

18 You have to understand the human factors. What
19 are they incentivized to enter? So if you do something, say,
20 during a pet encounter that isn't going to capture revenue,
21 well maybe it never makes it into the medical record even
22 though it could be a critical component of that pet's health
23 record.

24 And if you are getting paid, if there is a
25 capitalization on how you are getting paid, you are going to

1 make sure you enter the things that again generate revenue.

2 Standardization of data capture, I have talked
3 about that. From a data warehouse perspective, that's very
4 critical too. What are the rules and the logic used when
5 that data is captured into the system because I don't like
6 the phrase garbage-in, garbage-out because we're all just
7 humans trying to do the best we can but again thinking about
8 how humans behave and why they behave in a certain way is a
9 critical component to understanding this context.

10 And then understanding -- we talked about
11 outcomes too. How those are managed, how those are measured,
12 and that's my laundry list.

13 DR. HUNGERFORD: And I have just a little bit to
14 add because I think Dr. Lund's expertise in this area, those
15 are critical things to consider. The only things I'd say is
16 sometimes we don't think about again what is the outcome we
17 really need to know? And is it measurable, and how is it
18 measured?

19 And I think now with thinking of real world
20 evidence, it gives us an opportunity to do that, to say what
21 is the best way -- what do we really, really want to see in
22 these animals if the treatment works? And the other is, did
23 we get the right animals?

24 I think there are a lot of concerns when we --
25 even if we have perfect electronic health records, are the

1 right animals captured in that system? Or are they
2 convenient animals? I think those would be the only two but
3 those criteria for deciding how good the data are, are
4 critical that you described.

5 DR. SMITH: So in both of your presentations you
6 touched on the concept of bias. So what do you think are the
7 most important types of bias to consider with studies
8 generating real world evidence, and how should we control for
9 this bias?

10 DR. HUNGERFORD: So I think bias is very context
11 specific. There are some great examples where when people
12 are doing systematic reviews, they have checklists to go
13 through and think about all the biases. So I think the
14 biggest thing is to come up with -- I usually think about if
15 I'm planning a study and my worst enemy is going to critique
16 it, where are all the places that they can say, oh, that's
17 wrong because you didn't do this.

18 And that helps me think about those biases that I
19 might not have thought about and how to control those. And
20 in general we think about biases in the way, you know, that
21 we select the animals, selection bias, and that can be
22 selecting the records after it happens.

23 And we think about how we measure things,
24 information bias. What we measure on the animals. I think
25 thinking about each component of that becomes really

1 | important so that we can have kind of a laundry list to go
2 | through.

3 | Because I think the biases that affect every
4 | study will be different because of the very things that Dr.
5 | Lund mentioned about who is in it? How they were collected
6 | and why?

7 | And then of course there is the bias of -- and we
8 | talk about publication but it's really not that. It's are
9 | the data in the studies accessible? Can we find them or are
10 | we only finding the subset that show what someone wanted us
11 | to see? So how do you make sure that you have that
12 | complete things -- the best and the worst of the particular
13 | pieces of evidence.

14 | DR. LUND: I would go back to my laundry list for
15 | evaluating sources of data because it's one of those answers
16 | to questions you hate to hear but it really depends and
17 | because it is complex. Really, the context is so important.

18 | An example of this just from one of many is just
19 | how you make a diagnosis. And so if a disease on exam is
20 | able to be diagnosed, say, otitis. And you can put that in
21 | your system, well, it's there. If a diagnosis -- you have to
22 | wait for a lab result like a thyroid disease, hypothyroidism.
23 | If you don't get that result right in the exam, you're
24 | missing an opportunity to enter a piece of data.

25 | Again that's just a simple example of the bias

1 around the prevalence of a disease in a population. So you
2 almost have to understand the workflow and again the system.
3 And it is so context-specific. It really, really depends.

4 DR. SMITH: So realizing that this area is going
5 to continue to evolve but there is a current state within
6 veterinary medicine. What study designs do you think at this
7 point are best suited to the real world data that's available
8 to us in animal drug development -- and should be the points
9 of focus right now in a guidance?

10 DR. HUNGERFORD: So I think it depends on what
11 the question is that you are asking. So I think for safety
12 data, all bets are off. I think all data that are out there
13 need to be looked at carefully.

14 So for example, in a safety analysis, a case
15 report may be a higher level and better evidence than a small
16 cohort study that really wasn't powered to detect very many
17 adverse events.

18 So it's going to depend on putting together all
19 the sources of data for safety, and inferring from other
20 species. It is a much wider net without a real study design
21 that's ideal.

22 I think on the study designs for effectiveness,
23 traditionally cohort studies are the strongest among the
24 observational but I think combined studies are probably the
25 best way of all, thinking about them.

1 There is a huge area of emphasis right in that I
2 think we can build on from the human epidemiology side, and
3 that's in the whole area of causality. The kind of saying
4 used to be, well, when you run an epi-study, it is an
5 association. Association is not causation.

6 But now there is a whole science of causality to
7 look at how you design the analyses and the comparisons to
8 really tease things apart to come up with causal
9 associations. So I think building on that whole area is
10 going to be really important because ultimately we want to
11 know if a drug causes a positive outcome, and that's the
12 fundamental question. And then the size of that outcome, how
13 big it is.

14 And so I think it's a rapidly expanding area
15 that -- we might think cohorts are the best, and they
16 probably are but there are other kinds of study designs that
17 are being developed to do that.

18 And then I think another really important part
19 that got discussed this morning are simulation studies. I
20 think we can take what we know and use simulation to say what
21 are the bounds of what we know, and where are the other
22 pieces that we might need to plug in here together to get
23 this final answer as opposed to saying we will have one study
24 and it will answer everything.

25 DR. LUND: I guess what I would add to that, and

1 | if I had one answer I would say prospective cohort study.
2 | And there is a great study that just got funded by the
3 | National Institutes on Aging using dogs as a model for
4 | longevity in aging in humans and it's based out of both Texas
5 | A&M and the University of Washington, Daniel Promislow.

6 | And so it's a large -- they are planning 10,000
7 | dogs to study in a prospective way, and they will have
8 | multiple studies sort of nested within that where, I kind of
9 | spoke to a hybrid design where you have a cohort that you are
10 | following before exposure, before outcomes are observed, and
11 | I think there is the most power in that.

12 | There is definitely some effort and there is some
13 | research that needs to be brought to bear so you can truly
14 | follow up as many of those animals or if you are on the human
15 | side, humans. But I guess my one answer would be a
16 | prospective cohort with some sort of nested additional
17 | studies.

18 | We talked about outcomes and how, depending on
19 | the question you've asked, you may need to have more scrutiny
20 | around the outcome assessment.

21 | DR. SMITH: And our final question is what do you
22 | foresee as the biggest challenge to the use of real world
23 | evidence to demonstrate effectiveness or reasonable
24 | expectation effectiveness, which would be for conditional
25 | approval for animal drugs?

1 DR. LUND: I touched on it briefly. I really
2 think there are multiple stakeholders in this sort of
3 equations but there won't be the forces that exist on the
4 human side in terms of government and health insurance and
5 who is paying for health insurance.

6 And so I think it's time. Dottie, you said
7 something about all hands on deck. I think really finding,
8 having really thoughtful conversations across that
9 stakeholder network, and that includes that's everywhere from
10 the pet owner, the veterinarian, the veterinary team to
11 animal pharma. What the value proposition is. And everybody
12 has to realize some value in this process.

13 And we don't have the infrastructure and the
14 momentum that's provided by funding either to control funding
15 or to continue funding on the human side so I'm hopeful but
16 it's going to be a complex navigation.

17 DR. HUNGERFORD: And I agree, and I think that
18 idea of there are different stakeholders and different groups
19 that can use different things out of the health records and
20 so working together so that there is much more communication
21 so that can move forward I think is critical because I think
22 there are different camps now that just really don't talk to
23 each other much, that go their own way.

24 And that means that each one isn't kind of
25 augmenting and empowering the other. So I think that's one

1 | problem. I think the other problem is that the CVM GFI is
2 | not out yet.

3 | DR. SMITH: Thank you both. So we're going to
4 | move now to our registered speakers. And I will first invite
5 | our first speaker, Heather Pidcoke.

6 | *Public Comment*

7 | *Heather Pidcoke, MD, MSCI, Ph.D.*

8 | *Colorado State University*

9 | DR. PIDCOKE: I am Heather Pidcoke. I'm the
10 | Chief Medical Research Officer for Colorado State University.
11 | I'll just give my disclosure that I'm not a subject matter
12 | expert on real world evidence. And my desire to give some
13 | remarks is really based on a sort of unique place in time
14 | that I'm at right now, which is I currently just joined CSU,
15 | which is a big veterinary campus, and all my previous
16 | experience was in research in the human space.

17 | So trained in surgery, worked for the military on
18 | blood research for damage control resuscitation. And then
19 | went to industry and worked on those same products trying to
20 | get them through development and now I'm in this sort of
21 | unique position of suddenly being thrown into the world of
22 | veterinary clinical development.

23 | And I just had some thoughts based on that, and
24 | it really applies to both this session and the previous
25 | session. So I'm going to try to get to the things I wrote

1 down.

2 I first wanted to just commend FDA on addressing
3 the challenges related to clinical trials and promoting
4 innovation. I think that this is just phenomenal to have
5 this group of people here to discuss these concepts and try
6 to address some of the challenges.

7 I think there are a lot of challenges to be
8 addressed, and so in the veterinary space, I think even more
9 so than in the human space, funding is scarce and very
10 difficult to come by. And this is a challenge that
11 veterinarians really have to get creative to work around.

12 Data-gathering systems, as discussed by Dr. Lund
13 and Dr. Hungerford, are pretty limited. You know, we think
14 we have challenges on the human side of things and then you
15 go to a veterinary hospital and you realize how many more
16 limitations they're working with and what they're able to do
17 despite that.

18 So for human use, as Dr. Hungerford alluded to,
19 FDA has guidance for use of real world data, and they're
20 developing guidance for use of adaptive clinical designs and
21 innovative trial designs. That is not the case in veterinary
22 medicine.

23 Most of the people that I have come across at
24 Colorado State University, and I discuss adaptive trial
25 designs, they've never heard of it before or they have a very

1 | limited, you know, experience with that.

2 | So I think there are a lot of challenges in
3 | bringing that over, from the infrastructure point of view and
4 | also from the point of view of just having a body of people
5 | who have experience in that area.

6 | Finally, I think going back to the challenges
7 | with funding, adaptive design trials are not cheap and
8 | require simulations that are extremely expensive. So how are
9 | we going to address that in the veterinary space?

10 | So I think there are opportunities that are huge.
11 | I think that linking veterinary clinical development programs
12 | to human programs in the areas where that compatibility
13 | exists could be one way of funding veterinary therapies by
14 | tying them as preclinical data to human therapies. I think
15 | that is something that really could be looked into.

16 | But right now you have to be very, very
17 | motivated, very organized and have just a phenomenal team in
18 | order to make that happen because the barriers to it are
19 | extremely high. So my final thoughts to FDA as they consider
20 | all these topics is that -- is there anything FDA can do to
21 | reduce the barriers to that happening?

22 | Is there any way that FDA could maybe get
23 | together with the human side of FDA and talk about, well, we
24 | have different metrics for human therapies but how could we
25 | help investigative teams overcome some of these and really,

1 you know, coordinate these efforts so that we get more out of
2 the data that we do have?

3 How do we bring in real world data not only as
4 the human side but as preclinical data to add to human
5 development programs? So I commend FDA for the creativeness
6 of these types of interactions but I also ask FDA to help all
7 of us make these development programs more collaborative
8 across species. Thank you.

9 (Applause)

10 DR. SMITH: We invite our second speaker, Terry Settje.

11 *Public Comment*

12 *Terry Settje*

13 *Bayer Animal Health*

14 MR. SETTJE: Thank you for the opportunity to
15 provide comments on the use of real world data and real world
16 evidence in clinical investigations for new animal drugs.

17 My name is Terry Settje from Bayer Animal Health.
18 I am representing the Animal Health Institute. The Animal
19 Health Institute foresees great opportunities in this space
20 for the animal health industry. Permitting the use of real
21 world data and real world evidence will further supplement
22 several areas.

23 Four such areas include: Number 1, proposals for
24 alternative study designs and possibly replace various
25 aspects of typical or traditional clinical research trials.

1 Number 2, label changes to approve drugs, including adding or
2 modifying an indication, changes in dose, dose regimen or the
3 route administration, use in a new population and/or species,
4 and updating safety information.

5 Number 3: post-marketing activities to support a
6 regulatory decision including currently used evidence for
7 pharmacovigilance activities and evidence to support
8 conditional approvals. And 4, nontraditional pathways, such
9 as adaptive study designs, the use of foreign data,
10 qualifying biomarkers as surrogate endpoints, nonrandomized
11 single-arm trials using real world evidence as an external
12 control, and of course observational studies.

13 The FDA has indicated a desire to quote close the
14 vital gaps between evidence generated using traditional
15 clinical field trials and evidence leveraged from real world
16 data including the use of foreign data.

17 Whether similar gaps are evident in the animal
18 health industry or not, opportunities exist using today's
19 technology to enhance the totality of evidence related to new
20 animal drugs by utilizing real world evidence.

21 AHI stresses the importance of ascertaining
22 relevant and reliable sources of real world data. For this
23 reason, we recommend the guidance include decision support
24 tools to assist both sponsors and veterinarian clinics in
25 assessing relevant and reliable sources of real world data.

1 Permitting the proper use of real world data and
2 real world evidence will increase the magnitude of
3 substantial evidence of safety and effectiveness of a
4 veterinarian drug or device. AHI supports the Center's
5 endeavors to investigate and draft guidances for the use of
6 real world data and real world evidence as part of FDA's new
7 animal drug approval process. Thank you.

8 (Applause)

9 DR. ELLENBERG: Okay, folks. At this time we
10 will move forward and adjourn for lunch and return promptly
11 and 1:30 p.m., when we will get started. Those individuals
12 who will be participating in the panel this afternoon for the
13 first session in the afternoon, make sure you show up a
14 little earlier so we can make sure everything works
15 perfectly. Thank you.

16 (Whereupon, luncheon recess was taken.)

17
18 A F T E R N O O N S E S S I O N

19 (1:31 p.m.)

20 *Session 3: Biomarkers and Surrogate Endpoints*

21 *Phillip Turfle, Veterinary Medical Officer*

22 *Division of Therapeutic Drugs for Non-Food Animals*

23 *Center for Veterinary Medicine, FDA*

24 DR. TURFLE: Good afternoon, everybody. Go ahead
25 and settle down and we will get started on our afternoon.

1 I would like to welcome you to this afternoon's
2 session. I am Phil Turfle, Veterinary Medical Officer in the
3 Office of New Animal Drug Evaluation and I will be moderating
4 this session. We've had some great talks this morning and
5 hope to continue this momentum as we continue into our next
6 topic area.

7 I'll try to keep my introduction swift and brief
8 to allow our invited speakers and you as much time to provide
9 feedback in how biomarkers and surrogate endpoints can be
10 used in clinical studies for effectiveness.

11 As in the other sessions we need to define our
12 terms. We'll begin by defining biomarkers and surrogate
13 endpoints. These definitions come from the biomarkers
14 endpoints and other tools resource more commonly known by the
15 an acronym of BEST and it is published on line by the FDA-NIH
16 Biomarker Working Group.

17 In summary a biomarker is a defined
18 characteristic that is measured as an indicator of normal
19 biological or pathogenic process or in response to a
20 therapeutic intervention. Two examples, creatinine, which is
21 commonly used as an indicator of kidney function, and glucose
22 which is commonly associated with its use in diabetes
23 mellitus.

24 A surrogate endpoint is an endpoint that is used
25 in clinical trials as a substitute for direct measure of how

1 patient feels, functions or survives. As a substitute the
2 surrogate endpoint is intended to predict a particular
3 clinical benefit or desired outcome.

4 In animal drugs sperm count was used as a
5 surrogate endpoint to show Zeuterin's effectiveness for the
6 desired outcome of chemical sterilization of young male dogs.
7 And so sperm count was used as the substitute for the dog's
8 ability to sire puppies.

9 I want to know that the effects on how patient
10 feels, functions or survives is how clinical benefit is
11 evaluated for human drug approval because not all animal
12 drugs provide a benefit or direct benefit to the individual
13 animal, we request stakeholder feedback on how well this
14 definition of surrogate endpoint applies to animal drug
15 regulation.

16 Biomarker activities outside of CVM of which we
17 are aware include BEST, which we've already mentioned, the
18 Center for Drug Evaluation and Research's Biomarker
19 Qualification Program and the two consortia here, the
20 Biomarker Consortium of the Foundation for the NIH and
21 Critical Path Institute. Both of these bring together
22 academia, researchers and regulated industry to develop and
23 ultimately qualify biomarkers through CDER's Biomarker
24 Qualification Program.

25 And I just want to point out that this would be

1 another -- a good time to highlight the all hands efforts
2 that have been mentioned this morning as far as bringing
3 together and creating consortium. We would certainly love to
4 see the same thing in veterinary medicine.

5 So, in the Federal Register Notice we posed five
6 questions.

7 (Slide)

8 Question 1, what are your expectations for the
9 use of biomarkers in the context of animal drug regulation
10 and how might biomarkers be used in the design and conduct of
11 clinical studies beyond their use of surrogate endpoints.

12 (Slide)

13 Question 2, what information to be provided to
14 the FDA to support biomarkers use in diagnosing disease, to
15 enroll patients, sample size estimations and
16 pilot/proof-of-concept studies.

17 (Slide)

18 Question 3, what are the major challenges in
19 translating potential biomarkers and/or surrogate endpoints
20 into practical tools in clinical trials and what are possible
21 solutions to these challenges.

22 Question 4, how do we determine the evidentiary
23 criteria for evaluating biomarker use.

24 (Slide)

25 And Question 5, should the FDA's Center for

1 Veterinary Medicine develop a biomaker qualification program
2 like CDER's, would such a program be beneficial and is it
3 something that stakeholders are likely to use, are there
4 other approaches in development and acceptance of biomarkers
5 for animal drugs, that CVM should consider.

6 (Slide)

7 As I stated previously, your comments are greatly
8 appreciated.

9 (Slide)

10 If you are not able to provide your comments
11 today, we sincerely encourage you to provide your comments to
12 the docket which will remain open until August 17, 2019.

13 (Slide)

14 I would like to introduce our speakers and
15 panelists today. We have Doctor Hans Coetzee from Kansas
16 State University. Doctor Lisa McShane from the National
17 Cancer Institute of the National Institutes of Health.
18 Doctor Chad Ray from Zoetis, Inc. And Mr. Terry Katz from
19 Merck Animal Health.

20 And so the first three will be providing
21 presentations and then Doctor -- they will be joining
22 Mr. Katz in the panel discussion.

23 So, I would like to turn it over to our first
24 speaker, Doctor Hans Goetzee.

25

Invited Speaker No. 1

1 *by Johann (Hans) Coetzee, BVSc, Ph.D., DACVCP, DACAW, DECAWSEL*

2 *Kansas State University*

3 DR. COETZEE: Thank you very much. I appreciate
4 the opportunity to be here this afternoon and visit with
5 you about some of the research that we have been doing
6 evaluating biomarkers for pain assessments specifically in
7 livestock.

8 (Slide)

9 The goals of my presentation will be to talk
10 about some of the potential biomarkers for studying pain in
11 livestock and how those biomarkers may be useful in the
12 approval process of analgesic drugs in food animals. The
13 last topic that I will discuss briefly are some of the major
14 challenges that we have in translating those pain biomarkers
15 into practical tools.

16 (Slide)

17 To start off the definition of pain would
18 probably valuable and this is the accepted definition,
19 working definition for pain in animals, is an aversive
20 feeling or sensation associated with actual or potential
21 tissue damage resulting in a physiological, neuroendocrine or
22 behavioral change that indicates a stress response.

23 So, based on the definition, it is clearly three
24 areas where we can investigate potential biomarkers and that
25 is in the physiological changes associated with pain, the

1 neuroendocrine changes associated with pain and then the
2 behavioral changes associated with pain.

3 The challenge we have in animals, especially
4 livestock, is that pain has both a sensory and affective
5 component. The affective component being primarily emotional
6 and very challenging obviously to assess in a non-
7 communicative animal.

8 Most of our production species have also been
9 bred or come from stock where the expression of pain is
10 disadvantageous to the animal being a prey species. And so
11 most of our livestock do their very best to try and conceal
12 signs of pain.

13 (Slide)

14 In terms of common pain models in livestock
15 production systems there are many. And these are examples of
16 some of the conditions that exist in production system
17 currently where pain mitigation would be beneficial.

18 The first of these would be elective procedures,
19 and that includes castration, tail docking, dehorning, beak
20 trimming in poultry and branding practices in which some
21 states that's still a requirement.

22 Other painful conditions that we encounter in
23 livestock production systems would be lameness, calving,
24 lambing and farrowing. There continues to be some discussion
25 in livestock production whether these conditions are painful

1 and how we would assess pain in these conditions, and more
2 importantly whether animals would benefit from receiving
3 analgesia following these conditions and some of that
4 research is ongoing.

5 But clearly there are many opportunities for us
6 to use analgesia in livestock production systems. For
7 example, we had produced 133 million piglets in the United
8 States each year and there are about 10 million castration,
9 tail docking or castration dehorning procedures that are
10 performed in cattle each year. And so this is a very common
11 practice in production systems that would clearly benefit
12 from the use of analgesia.

13 (Slide)

14 In regards to the approval of analgesic drugs for
15 use in livestock, this has been extremely challenging for us,
16 specifically FDA Guidance 123 in Section 6, under Labeling,
17 states that we, the FDA, recommends that this indication be
18 based on the control of clinical signs of pain associated
19 with the disease, and FDA encourages the use of validated
20 methods of pain assessment in the target species. And that's
21 been a very significant challenge for us, both in the
22 research and the production systems, is finding those
23 validated methods of assessment.

24 In July of 2017 a drug was approved for relief of
25 pain associated with foot rot, and it was assessed using a

1 novel pressure mat system which was the first drug approved
2 in the United States with analgesia on the label which was a
3 very significant milestone.

4 (Slide)

5 So, how do we know if it hurts. This is a little
6 like the producer asking the chicken what are you talking
7 about, just lay eggs and the chicken says no, I want an
8 epidural. It's not quite as simple as that in terms of
9 assessing pain in our production species.

10 (Slide)

11 So, what are the properties of the ideal
12 biomarker. They are specific to pain and responsive to
13 analgesic drug administration. It can be quantifiable in
14 samples that are relatively easy to collect and where the
15 timing and method of sample collection does not confound the
16 experiment.

17 This is something that we have significant
18 challenges with in production systems is being able to
19 collect a sample in a way that the act of collecting the
20 sample doesn't in fact confound the biomarker we are trying
21 to collect. And particularly cortisol would be an example of
22 a biomarker that is susceptible to that.

23 The biomarker needs to be robust, stable and
24 reproducible and predictable across different natural and
25 experimental painful conditions. And the analysis of that

1 biomarker should be accomplished using a validated analytical
2 method.

3 (Slide)

4 These are some examples of the biomarkers that we
5 currently are trying to validate for use in livestock
6 species. These includes the use of thermography up in the
7 top right-hand corner. Pressure algometry in which the
8 application of pressure to a painful site elicited a
9 behavioral response which allows us to determine the
10 mechanical nociceptor threshold of the animal. Heart rate
11 determination, in this case with a Polar Heart Rate Monitor
12 which is the watch there in the middle. And the assessment
13 of substance P, has been an area we've also examined to try
14 to assess pain in livestock.

15 In addition, we've done some work with EEGs, in
16 the middle right there. We've done some assessment with
17 cortisol in the bottom right corner. And then we've done
18 some work with chute exit speeds, so the speed in which the
19 animal leaves a processing facility through the use of barrel
20 racing timers. And then in the bottom left-hand corner -- or
21 right-hand corner, sorry, is the pressure mat that we talked
22 about previously that was used for the approval of Banamine
23 Transdermal. And then the middle is the use of
24 accelerometers, which we've also studied to tried to validate
25 as pain assessment biomarkers.

1 (Slide)

2 In terms of how these biomarkers have performed
3 in terms of their assessment, the ones that I've highlighted
4 in red there, we've had challenges with and that's been the
5 use of electro-dermal activity, chute exits speeds, growth
6 and performance and accelerometers. In those conditions
7 we've had several examples of and studies where those have
8 not performed as expected.

9 The ones in yellow in the middle, behavior, heart
10 rate determination, plasma cortisol, substance P, EEGs and
11 mechanical nociceptor, we've had some variable results where
12 there have been experiments where they performed extremely
13 well and other experiments where they have not.

14 And then the use of thermography and pressure mat
15 analysis have been more reliable, at least in our hands, in
16 terms of pain assessment although with thermography we still
17 have some challenges using that as an assessment tool
18 specifically looking at ocular thermography in animals and
19 I'll show you some data here in the next few slides.

20 (Slide)

21 So, what I want to focus on for the rest of this
22 presentation is how these biomarkers might be useful in the
23 approval process of analgesic drugs in food animals.

24 And I present to you here three experiments in
25 which we used the same analytical methods to assess cortisol,

1 substance P, and some other endpoints, they were conducted in
2 the same lab. They all used the same drug. The transdermal
3 flunixin meglumine, and they are assessed three different
4 painful conditions in food animals.

5 And one of them was castration, the second was
6 dehorning and the third was an induced lameness with
7 Amphotericin-B.

8 So, with all three of these studies using the
9 same endpoints and the same laboratory and the same drug with
10 the same dose, it gives us some opportunity to be able to do
11 some comparison between some different painful conditions and
12 some different studies to try to evaluate these endpoints
13 using a receiver/operating characteristic curves or ROC
14 approach.

15 (Slide)

16 So, in comparing the biomarkers using a
17 receiver/operating characteristic curves we were essentially
18 creating a graphical plot that illustrates the diagnostic
19 ability of a test as discrimination thresholds are varied.

20 So, as we vary cutoff points for the test we can
21 plot these curves, which essentially plot true positive rate
22 on the Y axis with false positive rate on the X axis. And it
23 gives you an opportunity to assess the area under the ROC
24 curve which is an indication of the predictive value of a
25 biomarker.

1 So, the ROC is created by plotting the true
2 positive rates or the TPR against the false positive rate in
3 assessing the different thresholds that you are testing.

4 So, in this particular example here the red line
5 is random, green is a good test, orange is a better test, and
6 blue is the best test. So, the more the line tends towards
7 the true positive rate on the Y axis the better the test
8 performs and the higher the AUC will be.

9 (Slide)

10 So, there have been several examples that we've
11 conducted in our lab using those three papers, where we
12 looked specifically at cortisol after castration, at three
13 different time points. And in this particular slide we're
14 comparing no pain versus pain and we're comparing pain versus
15 analgesia. And you'll note there the different area under
16 the curve measurements are given and we also got the
17 different cutoff points are given.

18 And so when you're looking at one hour after
19 castration of cortisol you've got an AUC of 0.98 which is --
20 would be considered excellent. But because 0.9 and 1, is the
21 desired area under the curve that you want to get for an
22 assay, but if you were take a sample at four hours, the AUC
23 would drop to 0.96. If you took it at twelve hours it would
24 drop to 0.51.

25 Similarly, if you looked at pain versus

1 analgesia, this across those three studies, you start off
2 with an AUC of 0.75 at one hour. The AUC improved to 0.9 at
3 four hours. And then it drops back to 0.87 at twelve hours.

4 So, the take-home message from this particular
5 experiment is that varying times can vary the outcome of the
6 test and so can varying intervention. So, if you are
7 comparing pain versus no pain or analgesia versus pain, those
8 interventions can all vary the outcomes in terms of
9 optimizing the timing and the area under the curve of this
10 particular sample collected after castration.

11 When you look across the three different painful
12 interventions, we got castration, dehorning and lameness.
13 All standardized at six hours. Again we're comparing no pain
14 versus pain and pain versus analgesia, you'll note that in
15 castration at six hours, no pain versus pain, the AUC is
16 0.91. So, the test is very good discrimination of animals
17 that are in pain versus no pain. But when you are looking at
18 animals that are in pain versus those in analgesia the
19 discrimination is not very good. It is only 0.71.

20 Similarly, when you're looking at dehorning in
21 both the pain versus no pain and the pain versus analgesia,
22 the discrimination is only 0.7 at those different cutoffs.

23 And in lameness when you're comparing those two,
24 the discrimination was only 0.45, which is extremely poor in
25 comparing pain versus no pain. But when you are looking at

1 analgesia versus pain the discrimination was better at 0.85.

2 So, again these types of data could be extremely
3 useful for a sponsor in trying to optimize which time points
4 to collect samples in addition to looking across different
5 processes and procedures to figure out which of these would
6 be the best in terms of assessing this particular drug
7 against this condition.

8 (Slide)

9 I have some different examples here. And this is
10 where we've plotted the AUC over time. And the red line here
11 would be pain versus no pain and the blue line is analgesia
12 versus pain. And you'll note here for castration that we had
13 very good test performance above the 0.7 through to about
14 eight hours after the process. But when you looked at
15 dehorning there was much poorer performance of the test.

16 And when you looked at lameness only --
17 differentiation between analgesia versus pain performed well
18 when using cortisol as an endpoint. When you used the pain
19 versus no pain discrimination the AUCs were generally very
20 poor in conditions of lameness.

21 So, it varies by condition, it also varies by
22 time point.

23 (Slide)

24 Similarly when we looked at substance P the
25 overall, the performance of the assay was very poor when we

1 plotted ROC curve areas under the curve under time. And what
2 we found was only for lameness did we see the ability of
3 substance P to be used to distinguish pain versus no pain and
4 that was out to about -- from about twelve to forty-eight
5 hours after the lameness was induced. But overall substance
6 P was very poor at distinguishing analgesia versus pain
7 through all three of those different experiments.

8 (Slide)

9 When you looked at infrared thermography
10 comparing the area under the curve using again ROC curves
11 over time we found some variation that occurred over time and
12 this was just in the dehorning and the castration experiment,
13 that two that we used, AUCs under ROC. And we found that
14 only at forty-eight hours did infrared thermography performed
15 satisfactory. And when we looked at castration it didn't
16 perform very well at all over time, except at a single time
17 point of twelve hours.

18 So, a lot of variability around infrared
19 thermography which made it quite difficult to assess.

20 (Slide)

21 When you looked at mechanical nociceptor
22 threshold the assay test worked very well for distinguishing
23 pain versus no pain but struggled to distinguish pain versus
24 analgesia except at forty-eight hours after the procedure
25 where it performed satisfactorily above the .7 area under the

1 curve for that particular biomarker.

2 (Slide)

3 And then finally when we looked at the pressure
4 mat, the pressure mat actually performed extremely well for
5 distinguishing pain versus no pain and pain versus analgesia
6 out through about seventy-two hours after lameness was
7 induced, but Amphotericin B induces a very transient lameness
8 and so the issue with that is over time the animals are going
9 to become less lame because the lameness does not persist
10 throughout the lameness process. And so that's why that test
11 started to perform poorly after 96 hours.

12 (Slide)

13 So, to finish up I just wanted to highlight some
14 of the challenges associated with translating pain biomarkers
15 into practical tools.

16 The first one of these is with cortisol is we
17 have the circadian rhythm. So, the top graph demonstrates
18 circadian rhythm of cortisol in calves over time. These
19 animals were not subjected to any painful procedure and
20 you'll notice there was a peak in cortisol at one o'clock in
21 the afternoon and again at five o'clock in the morning. And
22 that persisted over a forty-eight period of time.

23 You'll also notice the two calves at the bottom.
24 One of those was subjected to a sham procedure followed by
25 dehorning and castration. And spiked a very significant

1 cortisol response after dehorning and castration.

2 Whereas, in the second calve, the graph closest
3 to me, which would be on your right, the animals that were
4 subjected to the sham procedures spiked a cortisol response
5 which also was evidence after dehorning and castration. So,
6 in that example cortisol was not very specific to the painful
7 process.

8 (Slide)

9 The other challenges, specifically with substance
10 P was stability at room temperature. The substance P is very
11 rapidly degraded. We lost about 30 percent of the spiked
12 substance P in a cooler and 70 percent on the bench within
13 the first hour after sample collection. And that creates
14 some significant challenges in terms of the field application
15 of that endpoint because of the very rapid degradation of the
16 substance P over time.

17 (Slide)

18 And finally with the mechanical nociceptor
19 threshold we find that animals become habituated or
20 acclimated to people approaching them and so we start seeing
21 some variability between horns and variability between sites,
22 when we try to assess mechanical nociceptor threshold in
23 these animals using a pressure algometer. And so these tools
24 are far more useful for assessing a single time point, a lot
25 more variable when assessing multiple time points after the

1 procedure.

2 (Slide)

3 So, in conclusion then the validated biomarkers
4 of pain in livestock would expedite the approval of analgesic
5 drugs. We have yet to identify a single biomarker or pain
6 assessment in livestock and most of those that we worked with
7 have several deficiencies. However when combinations of
8 those biomarkers are used, we typically get far more reliable
9 results.

10 We found that ROC curves may have value in
11 identifying the potential utility of a given biomarker or set
12 of biomarkers to optimize the timing of sample collection.
13 And we are currently evaluating some new genomic approaches
14 to assess gene expression associated with pain and
15 inflammation in livestock that may have value at identifying
16 more robust biomarkers for clinical applications in the
17 future.

18 (Slide)

19 I appreciate your time and attention. I would
20 also like to acknowledge support we received from USDA in
21 funding our research and several graduate students that have
22 been involved in these projects. And I will be happy to take
23 any questions now or in the panel station of there are any.

24 (Applause)

25 DR. TURFLE: All right. So, I'd like to invite

1 up our second speaker, Doctor Lisa McShane, from the National
2 Cancer Institute and the National Institutes of Health.

3 *Invited Speaker No. 2*

4 *Lisa McShane, Ph.D.,*

5 *National Cancer Institute, National Institutes of Health*

6 DR. McSHANE: Thank you. I would like to thank
7 the organizers for inviting me to this. I do not work in
8 veterinary medicine. Although I did my Ph.D. work at Cornell
9 and so my introduction to epidemiology came from their vet
10 school.

11 I now work at the national Cancer Institute. I
12 collaborate a lot with the folks at FDA and to the point that
13 many people have the mistaken impression that I work for the
14 FDA. But I do enjoy working with them.

15 (Slide)

16 I have no disclosures as any Federal employee
17 will tell you.

18 (Laughter)

19 (Slide)

20 So, one of my biggest collaborations with my
21 colleagues at the FDA has been in the development of the BEST
22 resource and in particular the glossary that you've heard
23 about already a couple of times today. I work in the field
24 of cancer therapeutics and my specialization is in fact
25 biomarkers.

1 So, we use biomarkers all the time in cancer, all
2 of our therapies now, virtually are driven by biomarkers.
3 So, I just live it and breath it every day.

4 I want to make a couple of distinctions between
5 biomarkers used for drug development, which is much of which
6 we have been discussing today, versus clinical use. And
7 there is an important difference there. Difference in the
8 kind of evidence you need to accumulate, what requirements
9 you have over the biomarker assay or test.

10 You heard a wonderful presentation this morning
11 from Bob Temple about biomarkers used for clinical trial
12 enrichment. So, I'm going to really gloss over those slides.
13 But I do want to elaborate a little bit more on another use
14 of biomarkers, surrogate endpoints which we've touched on bit
15 today, but I want to go into a little bit more detail from a
16 statistician's prospective.

17 (Slide)

18 So, you already heard the definition of
19 biomarker. I want to emphasize the last part of this
20 paragraph, that we really can think of biomarkers much more
21 broadly then just sort of a biochemical measurement. They
22 can be histologic measures, even radiographic measurements or
23 other kinds of physiological characteristics.

24 Really the kind of evidentiary approach you take
25 is not that sensitive to what type of biomarker is. It's

1 | more sensitive to what you are trying to establish about the
2 | biomarker. Where it can be useful and in what context.

3 | (Slide)

4 | So, prognostic and predictive biomarker, you
5 | already heard about use of those for clinical trial
6 | enrichment. But I want to take just a couple of minutes to
7 | make a distinction between clinical use of prognostic and
8 | predictive biomarkers and use of these types of biomarkers
9 | for clinical trial enrichment.

10 | And we run into this a lot in oncology. You have
11 | to appreciate that often when you have a drug that you think
12 | will work best in a particular biomarker defined population,
13 | for purposes of the clinical trial you may not have that
14 | biomarker refined sufficiently to say this is going to be the
15 | ultimate clinical test I will use to guide use of the therapy
16 | if the trial is successful.

17 | The idea of enrichment is you want to get close
18 | enough. You want to get, you know, more of the patients in
19 | your clinical trial that are most likely to benefit from the
20 | drug than just taking an all comers approach. So, it will
21 | help you from a statistical prospective as Bob explained for
22 | the prognostic biomarker you are trying to enrich for
23 | patients, or in your case animals, they are more likely to
24 | have the event you are interested in.

25 | And what that does for you statistically is that

1 whenever you have a time to event kind of endpoint like
2 survival or time to, you know, pain relief, time to whatever,
3 that your statistical power depends on the number of events.
4 It doesn't depend on the number of samples size. It depends
5 on the number of events, which is why if you have a kind of
6 study in a very low risk population, where let's say in some
7 kind of fairly indolent disease where you get some patients
8 having a negative outcome but many won't.

9 That kind of trial would have to be much bigger
10 in order to have the same statistical power to detect a
11 treatment effect than if you had a population that had very
12 poor prognostic factors for predicting what you are trying to
13 do is enrich for sort of the magnitude of the treatment
14 effects. So, you're saying you are hoping that more of these
15 patients will have the potential to benefit from the
16 treatment. If you mix in a bunch of other patients who don't
17 have the special biomarker that indicates that they will most
18 likely benefit from the therapy then your treatment effect in
19 that overall trial population is kind of a deluded version of
20 treatment effect.

21 You know, it is a bunch of patients who aren't
22 going to respond and then a bunch who will respond but the
23 overall effect gets kind of muted.

24 So, that's the idea behind the prognostic and
25 predictive biomarkers. So, in the one case you are trying to

1 get more events which increases your statistical power. In
2 the other case, for the predictive biomarkers you are trying
3 to get a larger effect size because that effect size has been
4 deluded to some extent by the ones that don't have the
5 perfect biomarker.

6 (Slide)

7 Also want to talk a little bit about
8 pharmacodynamic and response biomarkers. And these are often
9 confused or I should say mistakenly referred to as surrogate
10 endpoints. Some of them will turn out to be good surrogate
11 endpoints. But the fact that they indicate a biological
12 response does not necessarily mean that that biomarker would
13 be a good surrogate endpoint. And I will explain that. I
14 know it sounds sort of counterintuitive. I have a little
15 example that will hopefully make this clear.

16 (Slide)

17 I also need to make the clarification that I will
18 be using the word biomarker pretty freely throughout this
19 talk. But in fact a biomarker has to be measured to be
20 useful. Okay. And there are many different ways we can
21 measure a biomarker. You know, in cancer, for example, we
22 have many immunohistological tests, you probably use them in
23 veterinary science, as well.

24 But the particular antibody that you use in that
25 immunohisto-chemical test may lead to very different

1 positivity rates. Other factors, like how you process the
2 specimen may effect your downstream test result.

3 So, this is why in the BEST glossary you'll find
4 we define the term biomarker test and we say that it really
5 has three components. You need to first of all have the
6 materials for measurements. So, that might be your equipment
7 it might be your antibodies, you know, all those kinds of
8 things, your probes.

9 And then you have to have an assay for obtaining
10 the measurement of the biomarker. So, you need to have sort
11 of a recipe, how do you go through this procedure, what is
12 your experimental protocol in order to come up with this
13 measurement. It's not sufficient to say well, I just have
14 this antibody but apply it however you want.

15 And then there is the method or the criteria for
16 interpreting those measurements. Often an assay will be
17 putting out some kind raw value. You might do further proof
18 processing of that value. You might be combining that value
19 with values of other biomarkers into some kind of score. If
20 it is a continuous measurement that comes out of your assay
21 you may apply a cut point to say well, this is what I'm going
22 to call positive, this is what I'm going to call negative.

23 So, all of these factors are important in how you
24 get that end result for your biomarker. And therefore, how
25 well that end result biomarker value is going to correlate

1 | with some other kind of endpoint you are interested in that
2 | will be important for your drug development program.

3 | (Slide)

4 | So, there -- I can't tell you how many times I've
5 | have been invited to give talks on the topic of biomarker
6 | validation. Okay. I say well, what do you mean by
7 | validation. And validation for what purpose. And so we have
8 | this lengthy discussion and eventually we get down to what
9 | they really want to hear about. But I thought it was worth
10 | making this distinction for you.

11 | So, the first kind of validation we should talk
12 | about is analytical validation. So, this simply says can the
13 | biomarker test evaluate the biomarker in some acceptable way.
14 | Now, how do you define acceptable. It depends on the nature
15 | of your biomarker. It might mean is it sensitive, is it
16 | specific, it's accurate, does it have a nice linear, big
17 | linear range, is it precise, you know, whatever is relevant
18 | to your situation.

19 | But you have to know that you are measuring this
20 | biomarker with your test in somewhat of a reliable or
21 | trustworthy way because if you have a horribly inaccurate
22 | test, you can expect that your biomarker is not going to
23 | correlate very well with other kinds of endpoints you are
24 | interested in because there is simply too much noise
25 | introduced by the lousy assay.

1 And again I would stress that this includes any
2 kind of pre-analytical factors that are important or that
3 will influence your biomarker result. So, does your specimen
4 have to be quickly frozen. You know, we just heard about,
5 you know, if you did not get that thing processed quickly
6 your analyte would degrade and you just wouldn't be able to
7 measure your biomarker. So, this all have to be considered
8 early on in the process as you are developing a biomarker for
9 some kind of use.

10 Clinical validation is what you'll see in many
11 published articles. It simply says we are able to show that
12 this -- the result that comes out of this test identifies,
13 measures or predicts some concept of interest. Well, concept
14 is just a fancy word for something like a clinical or
15 biological or physical characteristic. So, in other words
16 there is an association.

17 If you see a published article you are going to
18 see P-values saying, you know this is linear related to this
19 or this -- the area under the curve is greater, significantly
20 greater than point five. So, those are all establishing
21 associations. But simply having association, even a
22 statistically significant association is not necessarily
23 sufficient to make your biomarker useful for your purpose.

24 (Slide)

25 Now here we sort of get into the distinction

1 | between drug development tool and clinical use. So, in the
2 | clinical world in which I live mostly we talk about clinical
3 | utility of a biomarker test. So, this would be a biomarker
4 | test you are going to use in your clinical practice, and you
5 | can rely upon it to have a particular interpretation that
6 | allows you to manage the care of that patient. And the net
7 | result is that the patient will have a better outcome because
8 | you used the biomarker.

9 | So, it might be that the biomarker tells you to
10 | give treatment A instead of treatment B, it might say this
11 | patient has an excellent prognosis and their illness is
12 | likely to just resolve on its own, you don't need to give any
13 | treatment, that would be a prognostic kind of biomarker. So,
14 | those would be clinical uses. So, the bar for clinical use
15 | is going to be generally higher than the bar for use of a
16 | biomarker in drug development settings.

17 | (Slide)

18 | The term qualification is one that we use in the
19 | context of drug development tools. So, something like an
20 | enrichment biomarker. So, just how well does that biomarker
21 | have to perform if you want it to be a prognostic enrichment
22 | tool for running a clinical trial. Well, it has to increase
23 | the number of events that in the population identified by the
24 | biomarker. But it doesn't necessarily have to be, you know,
25 | ninety percent sensitive or ninety-nine percent sensitive.

1 It only has to be good enough that it gives you some benefit
2 to give you more efficiency in your drug development program.

3 So, this is -- I find that in the academic
4 communities that I work with there is a lot of confusion
5 about this. They hear the term biomarker qualification.
6 They hear the term validation. They completely confuse them
7 all. And nobody actually understands it so I am making an
8 attempt here to clear it up a little bit.

9 (Slide)

10 So, the medical product development tool used for
11 the qualification process, as I think everybody here knows,
12 there is a formal FDA program, qualification program. But I
13 should mentioned that use of a -- that use of a biomarker in
14 an individual say drug development program, can still be okay
15 if you do it in consultation with the FDA, you do not have to
16 qualify a biomarker in order to use it in your individual
17 drug development program. And there may be FDA people here
18 who want to elaborate on that in the discussion session.

19 But it's saying this is an opportunity,
20 qualifications program is an opportunity to get sort of a
21 more, I would say broad blessing on a biomarker where it
22 could be -- you are kind of sharing information, you could
23 use it in more than one context if the qualification covers
24 that whole, you know, range of use. So it's just a little
25 bit of doing your homework and getting a little bit done so

1 that you can leverage what you have already done with the
2 biomarker.

3 But that's not to say that you might not have a
4 very specialized biomarker for a new drug in a new class that
5 you're developing. It doesn't say you cannot use that
6 biomarker. Just, you know, consult with FDA and make sure
7 they are comfortable with how you are using it, how you are
8 interpreting it.

9 (Slide)

10 So, I guess I already mentioned this. The
11 clinical utility really it's the idea of risk/benefit
12 tradeoff. Are you better off using that biomarker in the
13 sense that your patient will have a better outcome.

14 And I have to say there are plenty of biomarkers
15 in, you know, human being medicine that doctors use all the
16 time and they may only get a kind of gestalt from them and
17 decide well, hum, this kind of looks good. I am not going to
18 worry too much about the patient. But they may not actually
19 be using it very directly for changing clinical care. And so
20 those are always kind of difficult and it would be a whole
21 session for another day on CDRH and how they regulate these
22 kinds of biomarker tests and it really is all linked to the
23 claim that is being made with the biomarker.

24 So, you can get a clearance for example for a
25 biomarker test for something that says well this is a

1 prognostic biomarker in a case prognosis and maybe it should
2 be used in combination with other clinical and pathological
3 factors to do this or that, you know, that's a different kind
4 of claim than okay, we have this drug and we've shown that
5 it's efficacious compared to standard therapy. So, it's all,
6 you know, very tricky labeling.

7 (Slide)

8 So, I'm going to skip this because Bob did such a
9 great job this morning, already explaining this, the idea of
10 enrichment. So, let me move on to surrogate endpoint
11 biomarkers.

12 (Slide)

13 So, I will remind you the definition, it's an
14 endpoint that is used in clinical trials as a substitute for
15 a direct measure of how a patient feels, functions or
16 survives. Here is the basic idea in a nutshell. Okay.

17 We want to be able to look for a difference on
18 our surrogate endpoint and have that be a good predictor of
19 the difference we would have seen or the treatment effect we
20 would have seen had we carried that trial out to fruition to
21 see the sort of definitive or true or regulatory accepted
22 clinical benefit endpoint. Okay.

23 So, here I am. I say okay. Well, suppose I have
24 a binary biomarker and I show that on treatment A I get fifty
25 percent positive for the biomarker, after I give the

1 treatment. Treatment B at seventy percent. I would love to
2 be able to say well, if getting positive we think is a good
3 thing I would love to be able to say I do not need to follow
4 this patients the whole way out to, you know, death. That I
5 know because of my biomarker, if I trust my biomarker, that
6 that means I can quit here and declare that treatment B is
7 better.

8 Well, it turns out that's a very, very tricky
9 thing to do. And I am going to show you a little numerical
10 example to explain why that is.

11 And I should add that, you know, the reason that
12 we measure these biomarkers of course, is that we can usually
13 get them sooner, more easily, less invasive or perhaps less
14 expensively. You may even have like a procedure that's very
15 invasive, to determine your definitive endpoint.

16 So, if you had a biomarker you could measure with
17 a blood draw, that would be an advantage even though it might
18 not save you in terms of number of patients, length of trial,
19 that's still kind of benefit that you could experience from a
20 biomarker surrogate endpoint.

21 (Slide)

22 We had a whole workshop, almost exactly a year
23 ago, talking about evidentiary criteria for establishing
24 surrogate endpoints. And again, this is a very, very tricky
25 subject. And one that FDA is still having lots of dialogue

1 | about. But we -- in this working group, to which I was also
2 | a member of, said that there are kind of five basic things
3 | you are looking for, for a surrogate endpoint. You want to
4 | have causality, you want to have ideally your biomarker
5 | surrogate endpoint would be on the causal pathway to your
6 | disease endpoint. Okay.

7 | So, everything has to travel through that
8 | biomarker, that's the perfect situation. Unfortunately
9 | that's not the way the world works usually. Think about
10 | drugs. They have off target effects. They may not work
11 | exactly the way they think it works. So, we might be not
12 | quite on the right path, that causal pathway. Lots of things
13 | can happen.

14 | You know, we want to have some kind of
15 | plausibility of the biomarker. We don't want to just go data
16 | drudging and fishing up something that seems to correlate
17 | with our endpoint. It really helps to have some biological
18 | plausibility.

19 | We want it to be specific. And -- and be --
20 | understand what complicating effects there may be. So, I
21 | already mentioned things like off target effects of the
22 | drugs.

23 | Proportionality, we'd like that the magnitude of
24 | change on that biomarker would be nice, if that actually
25 | correlated with the magnitude of treatment effect on your

1 definitive endpoint.

2 And we need to worry a lot about universality, so
3 something that's a perfect biomarker -- surrogate endpoint
4 biomarker in one setting for one class of drugs, for one
5 species of animal, doesn't always transfer to another. So,
6 you're always having to make some degree of extrapolation or
7 leap of faith as to just how far you can push the envelop
8 once you have established something is a good biomarker or
9 surrogate endpoint in a particular setting.

10 (Slide)

11 And to confuse things more, and I hope I can go
12 just a couple more minutes -- okay. Confuse things more we
13 statisticians have not helped things because we've used
14 terminology that's been very confusing. So, there is this
15 concept of individual levels surrogacy and trial level
16 surrogacy.

17 What we're really talking about when we're
18 talking about using surrogate endpoints in drug development,
19 is trial level surrogacy, because you're looking at a trial
20 level result, the effect on the biomarker in your trial, you
21 want that to predict the trial level result on your
22 definitive endpoint. Okay.

23 But what is too often mistaken as the ideal kind
24 of biomarker is what sometimes is referred to as individual
25 level surrogacy, which means that sort of within an

1 individual it's basically prognostic for your endpoint. And
2 let me show you why that can get you into trouble.

3 If you think that's all you have to show, for
4 example if the patient has a response that they will then
5 live longer, that's nice and it's prognostic, but it doesn't
6 get you the criteria that you need for a trial level
7 surrogate. And here's the example why that logic breaks
8 down.

9 (Slide)

10 So these pictures that I have here show the --
11 suppose we have a two-arm randomize trial. And on the one
12 arm we get a sixty percent response rate, on the other arm we
13 get a forty percent response rate in green there. So, we
14 know that if you get a response you have a better event free
15 survival, therefore it must be that treatment A is better
16 than treatment B.

17 Well, here's the flaw in the logic. What we
18 don't know is that the responders under treatment A behave
19 the same as the responders under treatment B. And the same
20 for the non-responders. Okay.

21 So, what I've shown in the table there are
22 different relationships between response and outcome
23 depending on the treatment received. Okay. And all of those
24 examples, the response is prognostic for event free survival
25 but I can come up with an event free survival difference

1 comparing A to B that ranges from negative ten percent to
2 positive twelve percent. Depending on how those
3 relationships differ according to treatment received. And
4 that's where everything breaks down.

5 (Slide)

6 So, this is just a picture. And then I have to
7 wrap up. Phil is ready to jump out of the chair and pull me
8 off the stage.

9 So, what you need to be doing if you really want
10 to show something is a reliable trial level surrogate is to
11 do a meta analysis of trials. You have to plot whatever your
12 treatment effect is on the definitive endpoint. In this case
13 I've shown it as event free survival log has a ratio and then
14 on your X axis it's going to be your biomarker effect which
15 in this case as I've shown it is a log odds of biomarker
16 response.

17 And in this particular case because low hazard
18 rate is a good thing, we want to see a negative slope on that
19 line. The different bubbles correspond to different trials.
20 The size being the size of the trial with actually the number
21 of events in the trial. Okay. So, that's the kind of
22 analysis you need to do.

23 And you can imagine the challenges in that.
24 Right, because you need to have multiple studies to do that.
25 But the flip side is if you don't do that, if you think you

1 | can do it looking only at a single trial, you run into this
2 | problem of prognostic not always implying good surrogate
3 | endpoint. And you have to bring in additional biology and
4 | cross your fingers and hope you are making the correct leaps
5 | of faith. But it's in general a very challenging problem.
6 | And Bob gave some very nice examples of catastrophes that
7 | happened with what people thought were good surrogate
8 | endpoints.

9 | (Slide)

10 | Okay. I will skip over this. Basically I am
11 | saying, you know, be consistent in your terminology, because
12 | you can't align the evidence if your definition is a moving
13 | target. Qualification and validation may be very context
14 | dependent. Consult with the FDA early and often, whenever
15 | you are trying to do something with biomarkers. Thank you
16 | very much.

17 | (Applause)

18 | DR. TURFLE: And so I'd like to go ahead and
19 | invite up Doctor Chad Ray for the next presentation.

20 | ***Invited Speaker No. 3***

21 | ***Chad Ray, Ph.D.***

22 | ***Zoetis***

23 | DR. RAY: Yes, thank you to the organizers, and
24 | I'd also like to thank my colleagues from Zoetis that
25 | contributed, and finally some of the biomarker sub-team

1 | within AHI that contributed to this talk.

2 | (Slide)

3 | So I'm going to follow up with what the last two
4 | speakers have provided and there is a lot of consistency.
5 | So, it's good that we really didn't have a chance to share
6 | our perspective but we're pretty well aligned, so that is
7 | good.

8 | So, what I did, the approach I took my expertise
9 | is in analytical validation, if you think about the biomarker
10 | continuum that we just heard described. I could've given a
11 | talk on that but I thought that would be a bit esoteric for
12 | the audience. So, rather than do that I looked at the five
13 | questions that were given in advance and I tried to build a
14 | story around that. And I also reorganized them around where
15 | I thought they were easier to answer and then with a little
16 | bit more difficulty to the end.

17 | So, you will hear these questions asked again by
18 | the panel. So, I may speak a little less during the panel
19 | discussion, I guess.

20 | (Slide)

21 | So, the first question that I brought forward
22 | was, the question was should biomarkers be used in animal
23 | health. And I think the answer is absolutely. They provide
24 | an objective approach to defining efficacy and safety.
25 | You've heard a lot today about the potential improvements in

1 trial designs, speed, subjects.

2 Perhaps the most beneficial aspect though is the
3 fact that biomarkers are objective measures in conditions of
4 pain. We heard a perfect example there, well-being and mood.
5 You can't ask the animal how they're feeling unlike you can
6 in human subjects.

7 I should make the point too that in my intro I
8 did I had twenty years of human biomarker experience, just
9 the last two years I have transitioned into animal health,
10 so. I found that applying biomarkers, like I said, is really
11 an important aspect in animal health.

12 And so lastly, I guess, on this I would say novel
13 biomarkers provide a better understanding than currently
14 available tools. So, we're certainly investing in novel
15 biomarkers.

16 (Slide)

17 So, another question that was asked was should
18 the FDA develop a biomarker qualification program like CDER.
19 I think the answer is yes. And rather than create -- the
20 point I'm trying to make here is that many of these things
21 have already been done by these various groups that are
22 outlined here, the Critical Path Institute for example,
23 spearheaded the biomarker analytical validation. Of course,
24 the Foundations for the National Institutes of Health worked
25 out the evidentiary criteria.

1 (Slide)

2 And then the outcome, as we heard, was the BEST
3 document, which if you haven't, I know we've heard it
4 described a couple times, but I can you that we at Zoetis
5 are using this now, this Glossary. And we've heard, to be
6 able to speak to the same language is essential in the field
7 of biomarkers. This was originally presented by Christopher
8 Leptak at the critical path discussion two years ago here in
9 D.C.

10 So, we had a nice discussion previously by
11 Doctor McShane on the various definitions. I think the three
12 that we typically focus on were the ones that she outlined.
13 Specifically in my group we pay a lot of attention to
14 pharmacodynamic markers, to help us establish dose. And then
15 give us the best probability of seeing success in later
16 trials. But in addition to that we like the idea of
17 predictive markers. We heard examples of enriching the
18 populations to give you a better probability of success.

19 And then finally monitoring markers, whereby if
20 you have a marker that would allow you to see changes over
21 time, serial measurements, that would give you a better idea
22 of whether or not the therapies are successful or not. So,
23 those are the three we pay attention to.

24 (Slide)

25 I also agree with the concept of context of use.

1 Again if we're going to put a biomarker qualification plan
2 in, a context of use is essential. As you can see from the
3 definition it's a concise description of the biomarker's
4 specified use in drug development. It has two components.
5 So, that again refers back to the best definition. You have
6 to define the category and then how are you going to use
7 that test in drug development. So, each biomarker
8 qualification effort should certainly identify one single
9 context of use.

10 And one thing that I would mention that we talked
11 a lot about at the critical path meeting two years ago was
12 that each test, depending on how it's developed, is then
13 associated with that context of use. So, just because you
14 have say an analyte target, IL-6 for example, and you wanted
15 to use that as a biomarker, you would have to show that the
16 new test, if you developed a new test, didn't use the same
17 one, had the same exact performance characteristics. You
18 would have to go through the process. So, this is where the
19 two are tied or interlinked.

20 (Slide)

21 So, the recommendation again it is apply common
22 language to biomarkers based off of BEST, wouldn't change
23 that. Context of use certainly needed. And then the
24 evidentiary based qualification framework exists, so I'll
25 talk about a little bit about that in one of my last slides.

1 But I think the one point here that has to be
2 made about the differences is that we have to consider the
3 limitations of animal health trials and establish appropriate
4 incentives to realize the value of biomarkers.

5 Now, we also heard the potential with for false
6 discovery, if you will, from a surrogate endpoint. I think
7 this is going to be the major challenge that exists in animal
8 health, is how do we -- how do we find the right level of
9 evidence versus risk. And because what we don't have if you
10 look at the LDL cholesterol and statin example, that was
11 94,000 patients in order to develop that sort of
12 qualification. So, that's a challenge.

13 (Slide)

14 So, one of the -- another questions was what are
15 the major challenges in translating potential biomarkers or
16 surrogate endpoint into practical tools. I took a little bit
17 different approach to answering this question.

18 And I would start with, in the lower right-hand
19 corner, this is the approach that we're taking within our
20 biomarker group. We start with the idea of maybe something
21 from the literature where a marker has shown relevance or
22 efficacy or we may use a genomic proteomic approach to
23 develop a marker. Then we build an in vitro test, we
24 validate it to some level of rigor to allow us to test in
25 both laboratory models and non-interventional studies, to get

1 a sense of things like the robustness of the assay, the
2 clinical relevance, the variance in the disease population to
3 establish some of those cut-points we heard described using
4 the receiver operator curves.

5 And then perhaps the most important component is
6 to understand the collection processing and analysis or
7 correlation with outcome that we can do in the POC studies.

8 (Slide)

9 Because as one of the speakers just mentioned
10 this is a real challenge in animal health and it really
11 starts -- and this is my prospective compared to human
12 health, we actually have even fewer available equipment and
13 technical skills at the clinics, for doing some of these
14 biomarker assays.

15 So, the markers have to be extremely robust. You
16 cannot have contributions of things like platelets or the
17 wrong anticoagulant. They have to be processed
18 appropriately. They have to be stable, we heard that
19 example. And then there is also the balance that has to
20 exist between the patient treatment and the biomarker needs.
21 And this not unique to animal health. This is certainly a
22 case in human health whether to take that serial biopsy, is
23 it ethical. So, we have to weight these considerations.

24 And then, of course, another difference for
25 animal health is that there are a lot of -- often times there

1 are plenty of reagents in human health to build test systems.
2 And in animal health that's not always the case. So, that
3 requires some up front work and actually development by the
4 sponsor.

5 But I guess the take home message is we do
6 believe biomarkers can be effectively implemented with the
7 proper commitment and planning.

8 (Slide)

9 So, you heard this -- so, what information should
10 be provided to the FDA to support biomarker use in these
11 contexts. So, this is the what I think of as the three part
12 process for truly demonstrating the relevance of a biomarker.

13 It starts with analytical validation. There is
14 no point in testing a biomarker if it is in fact a test that
15 lacks the appropriate rigor. Once you have that test then
16 validated it's appropriate in a prospective study to test it
17 to determine if there is in fact a correlation or connection
18 between the disease outcome and the test itself. And then
19 finally the idea of putting this test into practice, into the
20 broader medical community to see if it will hold in, you
21 know, in a large less controlled environment.

22 (Slide)

23 So, how to validate assays. These are a couple
24 of references I put in. The other one that I would add that
25 was just released was the points of consideration that came

1 out of that C-Path initiative. It was just released on June
2 12 by Steve Piccoli and John Sauer.

3 But this, on the left you can see this is the
4 Bio-Analytical Method Validation Guidance that was released.
5 It was draft in 2013. We had a subsequent meeting, a Crystal
6 City meeting, dedicated specifically to biomarkers in 2015.
7 And then the final guidance was then issued. But we do, in
8 fact, have within that document considerations for biomarker
9 assays.

10 And then there is also a reference from 2005 or
11 2006, Jean Lee, et al, that talks about specifically how to
12 validate biomarker assays. Some of the considerations you
13 need o take in the analytical parameters, we saw some of them
14 listed by Doctor McShane.

15 (Slide)

16 So, I do want to shift gears a little bit here
17 and point to the need for novel biomarkers. So, in the
18 internal medicine space often times subjects will come in at
19 different levels of disease. And they also -- in this
20 particular example, this marker has a parabolic or I should
21 say a log-rhythmic type approach to over time the change
22 in the marker.

23 So, if you select the animal at a time point,
24 that is then inconsistent you can get results that make it
25 difficult to interpret. And so how do you take then

1 something that occurs like this and come up with a simple
2 metric that could be used to evaluate progression, in this
3 case of a non-linear process.

4 You can see from this example, this particular
5 marker was successful at differentiating non-responders and
6 responders. In fact, we did see the cortisol example would
7 be a good one. There it was driven more so by diurnal
8 variation. But this isn't the case where over time the
9 marker is actually progressing up at different rates.

10 So, one way to address that is this concept
11 called reference change values published by Callum Fraser.
12 But what it takes into account are the different sources of
13 variance, the analytical variance, the within subject
14 variance and then it looks for that change, that delta, in
15 the biomarker to actually signify a medical meaningful
16 change. And then you could use that as a way to define a
17 progression time point.

18 (Slide)

19 And so we did that on one of our laboratory
20 studies. And, in fact, we could see, you know, changes in
21 this particular marker over time. It gives us a way to, as I
22 said, take a non-linear process and characterize a
23 progression.

24 So, again, this is something that we're
25 interested in. We would like to see considered.

1 (Slide)

2 So, lastly, how do we determine the evidentiary
3 criteria for evaluating biomarker use. And I thought about
4 this a lot. And I look at this framework and I think it's
5 definitely the approach that needs to be taken. I wouldn't
6 change anything here, which is -- I would start with the
7 context of use box. As I said, each biomarker should have a
8 category and then its proposed use.

9 And then you need to weigh the risks and benefits
10 in a conversation with the agency. What is the benefits of
11 this marker relative to the risks. And then once you have
12 that conversation you conduct the study. Then you can define
13 that, the actual output of that to determine if, in fact,
14 your marker has met the criteria in order for it to be
15 qualified. So, this again has been outlined by the NIH
16 foundations NIH.

17 (Slide)

18 So, this slide comes from, this was just thinking
19 about the entire process of implementing a qualification
20 program or even a companion diagnostic approach. And I did
21 this in collaboration with the AHI group. So, I think Terry
22 will be here to add on.

23 But I guess a couple of key points that I would
24 like to highlight as far as, you know, there is certainly
25 opportunity here, if you go to the opportunity box for cross

1 | industry collaboration. We heard that today. If you think
2 | about it in the human medicine, Alzheimer's Disease has been
3 | a great example.

4 | Certainly the biomarker qualification concept
5 | should reduce the time to run a study potentially. There
6 | should be improvements in animal welfare.

7 | I think the big concerns and threats weaknesses
8 | would be around the cost. We also know that we cannot add
9 | more costs to the development process or, you know, to the
10 | actual patients in the end. So, we need to find a way again
11 | to share in this burden across all parties.

12 | (Slide)

13 | So, factors to consider, this is my final slide.
14 | I think I am giving a few minutes back. So, that's good.

15 | The biomarker, as I said, must bring value to the
16 | patients. Biomarker qualification has to be beneficial to
17 | all parties. The timing and body of evidence has to be
18 | consistent with the size and speed of animal health trials.
19 | Has to promote innovation. Improve the product process. And
20 | lastly, we would certainly like to consider the idea of
21 | leveraging conditional approval and post-marketing data
22 | sharing.

23 | So, with that I will turn over the panel to Phil.

24 | (Applause)

25 | DR. TURFLE: So, I'd just like to invite our

1 | panelists up so we can go ahead and transition.

2 | And I just remind everybody to speak directly
3 | into the mike. And so we'll go through the questions and --
4 | so I will start with Mister Katz, and so what are the
5 | expectations and as phrased was sponsors, researchers,
6 | veterinarians, producers for the use of biomarkers in the
7 | context of animal drug regulation and how may biomarkers be
8 | use in addition to surrogate endpoint in the design and
9 | conduct of clinical studies.

10 | ***Panel Discussion***

11 | MR. KATZ: There is no question that the
12 | biomarkers that we heard today have a lot of value to replace
13 | some of the very long endpoints, like overall survival. So,
14 | the markers themselves have great use in clinical trials.

15 | But where are these markers coming from. And
16 | that's one thing that we need to consider is how do you
17 | detect these markers. There is a lot of time and investment
18 | that needs to be taken to get these markers, to be used both
19 | in trials or into care.

20 | And probably the best example if you think back
21 | to the NIH trial in 1948 Remington Heart Study. Still
22 | ongoing. And they have detected all these biomarkers, like
23 | the blood pressures, your lipids, and led to a whole series
24 | of papers changing the whole course of treatment for
25 | cardiovascular risk factors.

1 Where do we have to find something like that in
2 veterinary. And that's where -- there is really not that
3 much in veterinary to come up with the same idea. There is a
4 new one with animal -- Mars Animal Foundation that's just now
5 starting to show a single dog breed, Golden Retriever, as
6 representative of all the breeds. What about cats? Fish?
7 Chicken? Goats? None of those will be covered by that one.
8 And that's the first big study.

9 So, one thing we really need to focus on with
10 that type of question is how do we detect these markers. We
11 don't have an NIH in the veterinary community.

12 DR. TURFLE: Doctor Ray?

13 DR. RAY: I think I will pass it on. I covered
14 by thinking on this.

15 DR. TURFLE: Doctor McShane.

16 DR. McSHANE: With regard to how we detect the
17 biomarkers, I think you pointed out very well some of the
18 challenges you face in veterinary medicine. The one thing I
19 would say is that when we do collect the biomarkers we need
20 to document carefully how we have collected them. So, how
21 did we measure them, when did we measure them and what was
22 the measurement approach.

23 So, if we can't do it all prospectively, we need
24 to at least make the best use of data that we already have by
25 carefully documenting it and understanding therefore better

1 | what could be combined.

2 | DR. COETZEE: I think especially in our field
3 | where we're working with pain in livestock we obviously have
4 | significant animal welfare challenges with that. And as we
5 | design our experiments and do these types of studies if we
6 | can try to minimize the number of animals that we have
7 | enrolled in these types of experiments, this obviously has
8 | significant benefits for the animals and for the ethical
9 | considerations of the experiment as a whole.

10 | And I think biomarkers play a very important role
11 | in that process. Is that if we can develop these biomarkers
12 | effectively, I think it not only expedites the approval
13 | process but also from an ethical standpoint allows us to
14 | address the issue of painful procedures in generating these
15 | types of data.

16 | I think the other benefit obviously is being able
17 | to find opportunities for researchers and academic
18 | environments to be able to interface not only with the
19 | regulatory community but also with industry to be able to
20 | develop some of the markers that we're working in an academic
21 | environment and graduating them, qualifying them to be used
22 | in the regulatory process. I think that as supporters is an
23 | area that we could work on to be able to more efficiently
24 | utilize these types of markers for these experiments.

25 | DR. TURFLE: Thank you. So, I'm going to combine

1 | the second and fourth question. And so, biomarkers are
2 | commonly used for diagnosing disease to enroll patients,
3 | sample size estimation and pilot proof of concepts studies.
4 | So, what information should be provided to the FDA to support
5 | their use in these contexts and list some examples, analytic
6 | validation, clinical validation and establishing clinical
7 | utility or companion diagnostics.

8 | And the fourth question which is very similar is
9 | how do we determine the evidentiary criteria so the
10 | information that you will provide with the evidentiary
11 | criteria to -- that we would use to evaluate these
12 | biomarkers.

13 | And so I will start -- Doctor Coetzee, what do
14 | you think we should use?

15 | DR. COETZEE: So, one of the tools that we've been
16 | a little slow in adopting but have started to use more
17 | frequently now as the ROC curves that we talked about today.
18 | And we found those to be very useful to really understand
19 | what we're measuring. Typically as academics our world
20 | revolves around P-values, and so as long as you can get your
21 | 0.05 you got a publication, you are good to go.

22 | But I think we've got hundreds of data points,
23 | thousands of data points from different experiments that
24 | we've collected over the years, that once the paper is
25 | published we never look at. And I think that this ROC curve

1 platform does provide us an opportunity to be able to look at
2 this data in a different way and being able to combine those
3 experiments, a little similar to the meta analysis example
4 that you talked about and collaboration across different
5 researches we can actually really mine a lot more information
6 out of the data that we've collected with these experiments.

7 Again with that animal welfare consideration,
8 there were a lot of time and effort went into generating
9 these data. There should be opportunities to utilize them
10 more.

11 So, in response to your question I think ROC
12 curve is something that we're underutilizing, at least in an
13 academic environment on the veterinary side. I've seen very
14 little published on it. And I think that there are some
15 opportunities there for us to make better use of the data we
16 have to be able to inspect these endpoints and biomarkers in
17 a different way.

18 DR. McSHANE: Yes, I'll just build on that a
19 little bit. I think everything should start with what is
20 your goal with this biomarker, you know, what is the intended
21 use. And then you need to start defining sort of performance
22 characteristics of the biomarker as my colleague here was
23 saying, depending on what you want to use it for. You might
24 have very different requirements about the precision of that
25 measurement, the accuracy in terms of ROC curve or however

1 | you want to quantify it.

2 | And, you know, I find too often people as you
3 | mentioned just sort of go after a significant association
4 | with the P-value and then you are done, no one looks at it
5 | again.

6 | So, we have to have kind of a plan in mind. We
7 | have to have an end game. And I think that would really
8 | solve a lot of the waste in research if people would figure
9 | out where they want to go before they start their study.

10 | DR. RAY: I guess I would follow onto that and
11 | say that I agree, that we need to -- you have to understand
12 | analytically what you're trying to accomplish, such as what
13 | precision number is necessary in order to achieve the type of
14 | change that you're interested in.

15 | I did talk a little bit about the concept of
16 | serial measures and reference change value, I think that's
17 | something that needs to be thought about in terms of when you
18 | build these tests, another is index of individuality, these
19 | are certainly considerations that need to go in.

20 | One thing that I didn't comment on was companion
21 | diagnostics. I do believe that there is an opportunity for
22 | companion diagnostics in animal health. As I understand it,
23 | there currently is no -- no framework, if you will, similar
24 | to what exists in human health. And I think we should
25 | certainly consider that for oncology certainly.

1 MR. KATZ: These assays certainly need to be
2 specific, accurate, everything Lisa mentioned earlier. We
3 also in that same guidance document that's been put out on
4 the evidentiary criteria, they talk about cost effectiveness,
5 tolerability of risk and the value of incremental benefits
6 provided by the true results of the biomarker.

7 So, we don't want to -- we need to be controlled
8 and validated. Having an assay validated is to show it's a
9 really good assay. Like there is new assay out there for A1C
10 in cats and dogs. The literature questions, it's currently
11 back to diabetes control. So, that needs to be the
12 foundation first.

13 DR. TURFLE: So, the question three is what are
14 the major challenges in translating potential biomarkers
15 and/or surrogate endpoint into practical tools in clinical
16 trials for animal drugs and what are possibly solutions to
17 these challenges. And I will start back with Mr. Katz.

18 MR. KATZ: Besides making sure that it is
19 accurate and correlates back to the beginning, you have to
20 make sure that we have common definitions. So, we have cut
21 points, I heard that mentioned earlier. But that's probably
22 one of the weakest areas.

23 Subclinical mastitis the somatic cell count, but
24 is the cut point 20,000, 300, 500,000? That is probably one
25 of the simplest foundation we need to have standardized so we

1 know for sure that these markers can be used consistently.

2 DR. RAY: I think for me coming up with, I guess,
3 a clinical trial process that will allow you to test these
4 effectively. I mentioned that most sites lack the
5 infrastructure necessary to run the study. So, I think
6 putting a lot of effort up front into like I said, lab models
7 and non-interventional studies give you a sense of how stable
8 the markers can be and can they actually be applicable to use
9 in later studies to make assessments on whether you have
10 effected the outcome. That would be my approach.

11 DR. McSHANE: Yes. Since you posed the question
12 in terms of what are some of the challenges, I think in
13 veterinary medicine certainly the different species and
14 breeds is an enormous challenge. We know even just comparing
15 dogs to cats the same biomarker may have a different
16 interpretation.

17 So, I think -- I don't have a good answer for
18 that. You know, how you get the funding and other kinds of
19 support and infrastructure to run clinical trials in all
20 these different animal breeds, animal species and even breeds
21 within a species is pretty daunting in my view.

22 But I do think that we need to -- whatever we can
23 do we have to do the best way possible, which again goes back
24 to making sure that you're using biomarkers that have been -
25 - biomarker tests that have been analytically validated,

1 you've done your homework with preclinical studies and, you
2 know, don't jump too quickly into actual studies with, you
3 know, with companion animals for example, before you've done
4 a lot of your homework in other settings.

5 So, try and understand the biology as best you
6 can. I mean I work in the field of oncology and, you know,
7 nowadays we think of every tumor being unique. So, we almost
8 have the extreme situation of what you have. But there --
9 you know, many lessons to be learned. That you have to take
10 a very systematic approach and keep, you know, doing the
11 right measurements to understand the biology and combine over
12 studies and look for common themes and that's about all I can
13 suggest.

14 DR. COETZEE: I think one of our biggest
15 challenges is being controlling variability. I think
16 especially in pain assessment we found very often you'll
17 measure four or five different markers and sometimes they
18 tell you completely different things. And is that a
19 biomarker problem or is that an animal problem or is that
20 sometimes a drug problem. So, I think we've had some
21 challenges really in understanding whether the drug is not
22 working because the biomarker is bad or the drug is genuinely
23 not working.

24 And so I think we've spent a lot of time trying
25 to figure out the source of variability in biomarker

1 assessment and very often we discover these things based
2 around the label approval that a company is trying to get if
3 they are looking for labeling in calves, a biomarker may
4 behave very different in six week old calves versus six month
5 old calves. And so understanding that variability and how
6 you deal with those disparate outcomes where you have
7 biomarkers that are telling you different things in the same
8 test system can be really challenging and figuring out ways
9 to do that I think is -- would get us through a major
10 impediment in terms of being able to use these biomarkers in
11 a practical environment.

12 DR. McSHANE: Could I answer that?

13 DR. TURFLE: Sure.

14 DR. McSHANE: Another thing we shouldn't forget,
15 which we are doing a lot in oncology now, is looking at
16 biomarkers signatures. So, it doesn't have to be one
17 biomarker at a time, you know, it may be like in the examples
18 that you were describing, that a constellation of biomarkers
19 where we sort of interpret certain patterns, in the set of
20 biomarkers, will be more informative than trying to look at
21 each one individually and pick a winner.

22 DR. TURFLE: And then our next question is should
23 the FDA Center for Veterinary Medicine develop a biomarker
24 qualification program like CDER's and would such a program be
25 beneficial. And is it something that stakeholders, such as

1 drug sponsors, would use. And are there other approaches to
2 the development and acceptance of biomarkers for animal
3 drugs.

4 DR. COETZEE: Representing the academic
5 environment we wouldn't be directly impacted by this. But I
6 think we could obviously see the benefits of this because
7 from a practical standpoint my colleagues are constrained
8 because they have no drug approvals in food animals in terms
9 of analgesic drugs. They have one drug approved for treating
10 foot rot pain in cattle.

11 And so I think from a practical prospective we
12 would benefit from having more products available for us to
13 use to manage pain from an animal welfare and a production
14 standpoint. So, bringing that industry prospective from the
15 stakeholders we would certainly welcome that, the development
16 of such a program.

17 DR. TURFLE: I realize it's --

18 DR. McSHANE: Yes, whether you call it a formal
19 biomarker qualification program or not, I think it boils down
20 to having people cooperate and bring together the data they
21 have and take a very systematic approach to evaluating the
22 evidence.

23 And you're right, I mean the academics that I
24 work with, even they don't really understand what
25 qualification is. But they would benefit from at least the

1 | thought process that goes behind qualification.

2 | DR. TURFLE: I didn't know you were giving your
3 | opinion on that. Anything?

4 | DR. RAY: No, nothing at this time.

5 | MR. KATZ: In concept qualification makes sense.
6 | But right now it has only been I believe eight qualified for
7 | human side. And the big ones, lipid panel, c-reactive
8 | protein, HER2, cortisol, none of those are on that list. So,
9 | there is a lot that still needs to be done on the human side,
10 | let alone on the veterinary side.

11 | DR. RAY: I will follow up on that because I
12 | looked at that list today, too. Of those eight, six of them
13 | were driven by consortia. So, I think that was a point I did
14 | make, is that we have to figure out a way to foster animal
15 | health consortia. I don't have the solution, but I think we
16 | need to figure out a way to do that.

17 | DR. TURFLE: Yes. I would like to follow up
18 | since that is one of my later questions, since we've reached
19 | the end of the formal questions. I mean, do we have any
20 | suggestions on how to wrangle together the various groups to
21 | form consortia and --

22 | DR. McSHANE: I mean I think there are some
23 | pressing needs you could identify. And one of them is pain,
24 | you know. It seems to me you could get together a group of
25 | people who all would be very interested in that topic. And

1 | you could sort of circumscribe what set of things you want to
2 | deal with. But I'm sure there is a lot of data out there
3 | already that could be useful to make some progress.

4 | DR. COETZEE: The National Pork Board is actually
5 | driving an initiative like that. It's only been going for a
6 | year now, but they have gathered a group of scientists
7 | together in conjunction with the Pork Board which is an
8 | industry organization to try to validate and identify
9 | biomarkers of pain.

10 | And we've had fabulous cooperation from FDA and
11 | we really appreciate that in that process. And that's been
12 | very beneficial because most of the folks around the table
13 | are academics. And we -- understanding what goes into the
14 | development process I think has been really beneficial for
15 | those of us who work in this field.

16 | And so, that has been a really great example of
17 | that and I think within the next year or two we'll have some
18 | progress in that area because it is a very pressing need for
19 | us in livestock production environment.

20 | MR. KATZ: Certainly this forum brought together
21 | academia, industry, government. This is a good type of forum
22 | to raise some of those issues and possibly narrow down quite
23 | a bit to just a specific topic such as the best criteria, the
24 | glossary or the list of sort of endpoints and focus just on
25 | that one topic and see if you can come up with a good

1 collective mind set.

2 DR. TURFLE: And so, I guess moving on to what I
3 have as the next question. You mentioned asked the framework
4 in the way those questions are outlined and was initially
5 developed certainly for human drug development and research.
6 The Center for Veterinary Medicine has been able to be
7 involved in some of that. But just like feedback if you've
8 been able to look at the definitions there and how well they
9 apply, I guess, as far as veterinary medicine and animal drug
10 development.

11 MR. KATZ: Some certainly would apply. Still the
12 idea of the rating scales has to be more specific for
13 different species. And having utilization of them. So,
14 right now if one variable is used such as the list of certain
15 endpoints was used once for an approval in any one human
16 environment it's listed. But that doesn't give the industry
17 an idea that if it's used hundreds of times that it's more
18 common, more valuable and possibly a better regulatory
19 effort, and we like to concentrate efforts on those than the
20 ones that were used maybe once.

21 DR. RAY: I can just comment on the best
22 definitions and how we are using those. We at Zoetis, as I
23 said are not only using the definitions but for each of our
24 programs we give a full detailed plan of how we might address
25 each of those best categories and how they might fit into the

1 program.

2 Not all of them are used as I mentioned. The
3 three that were more common were pharmacodynamic enrichment
4 or predictive, excuse me, and monitoring. But I think it
5 certainly can be applied and we are doing it.

6 DR. TURFLE: Do you have any suggestions for us --

7 DR. McSHANE: You know, I can only say having been
8 one of the members of that group that developed the glossary,
9 we actually did try very hard to make the definitions broadly
10 applicable. And so we actually want to hear feedback if you
11 feel as though we have gotten too specific to humans.

12 And, you know, we even had the issue -- we sort
13 of started with drugs in humans. And we said well, wait a
14 minute, there are devices, too, those are medical products.
15 And there is like tobacco products, and there is cosmetics.
16 And there is, you know, all kinds of other things. And then
17 there are animals, veterinary health.

18 So, we did -- take a very long time discussing
19 some of those definitions. And we hope we have made them
20 pretty broad. But again, we're too close to it at this
21 point, so we need to you from you if you feel as though we're
22 missing the boat on some of those.

23 DR. TURFLE: And I think this will be our last
24 question. Do you have any suggestions or any thoughts as
25 far as how animal biomarker research has been used for human

1 pre-clinical drug development, including biomarkers qualified
2 by CDER might be leveraged for animal drug approvals.

3 DR. COETZEE: I think the comment was made earlier
4 about, you know, leveraging reagents and tool kits we have
5 available of. And mostly in humans and not in animals
6 species. And we've run into that before when we do -- taking
7 cattle work we really struggle to have the reagents to be
8 able to test some of these markers and see if they are
9 consistent across the different species. And so, that's a
10 real deficiency and I think it's something that we really
11 struggle with.

12 DR. TURFLE: Doctor McShane.

13 DR. McSHANE: No, I'm just wording since I'm not
14 in veterinary science, you know, for medicine for people we
15 have the NIH. But do you have any other kind of funding
16 organization, the USDA or any other government agency funds
17 this kind of research?

18 DR. COETZEE: So, the National Institute for Food
19 and Agriculture, which is NIFA, part of the USDA has a
20 funding program that supports this type of research. I think
21 that there are opportunities there for FDA and USDA perhaps
22 to collaborate on some of these specific questions because
23 there certainly would be leverage for both organizations or
24 benefits for both organizations to be involved in that type
25 of program because I think that the end goal is similar and

1 | the stakeholders of both those groups is very similar, as
2 | well. So that may be an opportunity.

3 | DR. McSHANE: I mean in the end it gets down to a
4 | lot of economics, I mean for all livestock production and
5 | things like that. It has an economic impact on us, all of
6 | us. It's not just our dogs and cats that we are worried
7 | about here.

8 | DR. RAY: When I think of the list of those eight
9 | qualified biomarkers maybe the acute kidney injury panel
10 | could actually provide benefit in animal health research
11 | certainly.

12 | But I think we would have to again go back to the
13 | context of use and see -- those have largely been safety
14 | driven markers. So, I think -- but I do think it gives us an
15 | opening to possibly explore that, that they could be used in
16 | companion animals, certainly.

17 | MR. KATZ: When the preclinical is a -- for
18 | example, dog, has a question was posed, there is a lot of
19 | correlations to human. Question comes up is the disease
20 | always going to be the same, like diabetes in a dog, diabetes
21 | in a cat, diabetes in human, are they all cross-comparable or
22 | is it just good to a dog and not to a cat.

23 | Then one thing you just about touched on, was
24 | what about non-mammalians, not just different animals out
25 | there. Or four-leggeds, or what about your fish. What about

1 | your poultry. There is no correlation between human per se.
2 | That's a whole other area that any type of regulation, any
3 | type of an approach needs to encompass those species, as
4 | well.

5 | DR. TURFLE: Any other comments before we -- all
6 | right.

7 | Well, I thank your panelists. And we'll
8 | transition.

9 | (Applause)

10 | DR. TURFLE: And so, I'd like to invite up Kathy
11 | Vannatta, who is our -- providing public comment at this
12 | time.

13 | ***Public Comment***

14 | ***Kathy Vannatta***

15 | ***Kindred Bio***

16 | MS. VANNATTA: Hello, I am Kathy Vannatta with
17 | Kindred Bio. And I am here representing AHI today. AHI
18 | appreciates the opportunity to comment on the use of
19 | biomarkers and surrogate endpoints, as a tool that can be
20 | leveraged to improve the delivery of innovative products to
21 | veterinarians, pet owners and producers. Biomarkers and
22 | surrogate endpoint have been successfully used in human
23 | development space for full approvals or accelerated approvals
24 | with the support of post-approval confirmation studies.
25 | However, the use of biomarkers in animal health drug

1 development has been a topic that has been explored for years
2 with minimal meaningful progress.

3 AHI agreed with CVM that biomarkers are very
4 useful in diagnosing disease to enrolled patients and
5 clinical trials. We also believe there is significant
6 utility to diagnose the presence of subclinical disease such
7 as when clinical signs are not present using somatic cell
8 count for example, to identify subclinical mastitis, or to
9 use biomarkers in a place where endpoints are more difficult
10 to measure or less reliable to measure, such as the use of
11 biomarkers as a replacement for visual analog score or a
12 Likert ordered categorical score that are often prone to
13 either subjective interpretation, bias or placebo effect.

14 These biomarkers then have the potential to serve
15 as surrogate endpoints that can potentially provide timely
16 evaluation as to the status of the disease of interest.

17 AHI encourages CVM to also consider how other
18 global regulatory agencies, such as EMA apply the use of
19 diagnostic biomarkers such as vector transmission studies
20 where EMA's guideline references serological, antigen or DNA
21 detection with or without clinical signs present to show the
22 protection and treated groups versus the negative control
23 specifically in lab settings.

24 To supplement diagnostic biomarkers, AHI
25 advocates that prognostic biomarkers may also a value to a

1 *priori* forecast and how disease may progress in individuals
2 regardless of treatment in order to stratify patients among
3 treatment arms at enrollment.

4 Biomarkers can be assayed at baseline, again --
5 assayed at baseline and again tracked during and after
6 treatment to show that a medical condition has either reached
7 stability or remission or cure after pharmaceutical
8 treatment. And one example of this would be the use of serum
9 and urine M-protein in human multiple myeloma.

10 AHI definitely understands the need by regulatory
11 agencies for sponsors to be able to justify the selection of
12 a biomarker. There needs to be good scientific evidence from
13 academia or industry that the biomarker is indicative of the
14 underlying condition and that the presence of that biomarker
15 can be reliably detected. Depending on the condition being
16 studied, the presence or absence of the biomarker may be
17 sufficient, while quantitative determination might be
18 preferred to is assay magnitude is correlated to condition
19 severity.

20 As our industry looks to adopt the approach taken
21 with biomarkers from the human health space into animal
22 health it must be noted that the effort required to validate
23 a biomarker does need to be commensurate and relatively
24 proportionate with our veterinary economies of scale. CVM
25 and the industry will need to collaborate on an approach that

1 balances between sound science with both the research
2 resources and the population sample sizes.

3 AHI would suggest that assay validation should
4 not exceed the requirement of existing FDA guidance around
5 bioanalytical method validation. Further analytical
6 requirements on the industry to try to parallel our human
7 colleagues, such as investment and biomarker qualification
8 and regulatory approved companion diagnostics could be
9 challenging, based on the longer development time needed and
10 cost and low expectation of return on investment.

11 The direct application of CDER's biomarker
12 qualification program to veterinary medicine and to CVM
13 specifically is questionable at this time due to the limited
14 number of successful candidates that have completed the
15 qualification process since its inception. However, as it
16 applies to animal health, uncertainties are still around the
17 cost, the added time to approval and whether collaboration
18 would occur among key stakeholders to contribute to the body
19 of evidence for the needed biomarkers.

20 One suggestion for CVM would be to consider the
21 use of existing processes, such as the use of public master
22 files or relevant and reliable real world data to support the
23 establishment and validation of biomarkers.

24 While the human pharmaceutical industry often has
25 dedicated divisions centric to a particular disease, or a

1 particular condition, with a potential suite of products
2 relying on a common biomarker, the veterinary industry does
3 not always experience the same magnitude of resources or
4 focus on a single therapeutic area. Leveraging biomarkers
5 already validated in the human health space may be helpful
6 for mammalian species, if the disease does have the same
7 etiology, however there is scant research on biomarkers for
8 avian or aquatic species and regulatory requirements may need
9 to be tempered in this instance.

10 Additionally, acceptance of biomarkers or
11 surrogate endpoints that are generally recognized by
12 veterinary experts or key opinion leaders and are currently
13 used in the diagnosis or management of disease would be
14 beneficial without the need for lengthy justification that
15 may add to the approval time and have additional costs.

16 In closing, AHI sees much value in progressing
17 approaches that would encourage the application of biomarkers
18 in animal health. Establishment and validation of biomarkers
19 needs to be commensurate and relatively proportionate with
20 our veterinary economies of scale, to be appealing for
21 investment of by sponsors and other stakeholders. If such
22 effort and costs were to be invested, the validated
23 biomarkers needs to be used for primary endpoint assessment.

24 Finally, we foresee this approach would benefit
25 other drug development areas which could minimize the use of

1 test subjects, and utilize relevant and reliable real-world
2 data and afford the industry with cutting edge methods that
3 may be able to replace long-standing legacy practices. Thank
4 you.

5 (Applause)

6 DR. ELLENBERG: Thank you very much. And at this
7 time we will take a break and resume with the final session
8 at 3:15. Thank you very much.

9 (Whereupon, a break was taken.)

10 DR. ELLENBERG: All right, folks. Let's take a
11 seat. And hammer out this last session. All right. Thank
12 you very much.

13 ***Topic 4: Foreign Data***

14 ***Introduction: Ana Lazo, Quality Assurance Study Reviewer***

15 ***Center for Veterinary Medicine, FDA***

16 MS. LAZO: All right. Good afternoon, everyone.
17 And thank you for being here today. This is the last session
18 of the day today. My name is Ana Lazo and I am a Quality
19 Assurance Study Reviewer in the Office of New Animal Drug
20 Evaluation. And I will presenting on data from foreign
21 countries.

22 I am joined today by Doctor Mary Jane Ireland,
23 Direct General of the Veterinary Drugs Directorate at Health
24 Canada, Doctor Chase from Schafer Consulting, Doctor Clemence
25 from Dechra Pharmaceuticals, and Doctor Smedley from CFR

1 Services. Shortly you will be hearing from all.

2 (Slide)

3 As you may know there is a rising interest in
4 global development of both drugs and food additives for
5 animal with companies seeking development in multiple
6 countries including the United States. In last year's
7 ADUFA/AGDUFA reauthorization Congress emphasized the
8 important of considering data generated in foreign countries
9 for both new animal drug applications and food additive
10 petitions. So, this session of today's meeting will uniquely
11 consider both.

12 (Slide)

13 CVM is seeking input from the public on how
14 foreign data can be used to further support regulatory
15 decisions and your feedback will help us understand your
16 proposed solutions to the challenges faced in using foreign
17 data in US submissions.

18 (Slide)

19 In the first question asked we requested feed
20 back on the challenges and potential solutions in meeting the
21 requirements of substantial evidence of effectiveness when
22 using data from foreign countries for animal drugs.

23 (Slide)

24 We would like to remind you of the definition of
25 substantial evidence which was applicable to all the sessions

1 today by paraphrasing, as evidence evaluated by qualified
2 experts to determine if a drug is going to work as indicated.
3 You can find the exact definition in 21 CFR 514.

4 (Slide)

5 Question two, in active control studies conducted
6 outside the United States the active control may not be US
7 approved. If the study were submitted to the FDA to support
8 effectiveness it would be difficult for FDA to infer that
9 noninferiority to an unapproved active control demonstrates
10 evidence of effectiveness.

11 (Slide)

12 We asked what criteria should be used to accept a
13 study where the active control is not approved in the US
14 What challenges exist in utilizing these studies and what are
15 potential options to enable FDA to use these studies.

16 (Slide)

17 Question three, what challenges and potential
18 solutions exist in demonstrating that data from foreign
19 countries were generated under conditions typical of those
20 found in the US for an animal drug or food additive.

21 (Slide)

22 Question four, what challenges and possible
23 solutions exist in designing studies that meet the approval
24 requirements of different jurisdictions for an animal drug or
25 food additive.

1 (Slide)

2 Question five, what challenges exist in study
3 conduct, collection and interpretability of data that may
4 influence study quality and data integrity to support the
5 approval of an animal drug or food additive. And what are
6 some possible solutions to these challenges.

7 (Slide)

8 And finally, question six, what other challenges
9 have you encountered and what potential solutions would you
10 propose with regard to providing data from foreign countries
11 to the FDA.

12 (Slide)

13 And now we would like to inform of our
14 participation and efforts with international harmonization
15 and cooperation. We're activity involved in maintaining
16 international collaboration with multiple agencies, such as
17 the FDA EMA Parallel Scientific Advice, the RCC and of course
18 the VICH. In fact, one of our invited speakers today, Doctor
19 Mary Jane Ireland, from Health Canada will be presenting
20 shortly.

21 (Slide)

22 We would like to familiarize you with resources
23 available addressing foreign data and international
24 collaboration. Such as the 2012 FDA Safety and Innovation
25 Amendments, which reaffirms that we have been accepting

1 foreign data for a very long, provided that the data are
2 adequate.

3 (Slide)

4 And here are some additional resources including
5 the various VICH Guidelines.

6 (Slide)

7 Additionally the ICCF Group in collaboration with
8 the Canadian Food Inspection Agency, the European Commission
9 and Industry Associations published its first two guidance
10 documents earlier this year and are continuing to work on
11 others.

12 (Slide)

13 And now I'd like to provide you with examples of
14 animal drugs approved with clinical effectiveness studies
15 conducted outside the US to support substantial evidence of
16 effectiveness. All clinical trials for the approval of all
17 three of these animal drugs were conducted outside the US
18 specifically in the European Union and Australia.

19 (Slide)

20 And these are examples of animal drugs where the
21 clinical trials included sites inside the US and in other
22 countries such as the European and Canada.

23 (Slide)

24 We would like you to know that the Center's use
25 of foreign data for new animal drug applications and food

1 additives petitions are very similar in that we have long
2 accepted these data where applicable to support approvals,
3 but are open to feedback about the challenges faced and
4 proposed solutions.

5 (Slide)

6 This slide emphasizes that we essentially use the
7 similar criteria for food additive approvals and the
8 questions are the same as we have for animal drugs.

9 (Slide)

10 And now I would like to thank you for your time
11 and remind you that your comments are greatly appreciated.

12 I would like to introduce our first speaker,
13 Doctor Mary Jane Ireland from Health Canada.

14 (Applause)

15 ***Invited Speaker No. 1***

16 ***Mary Jane Ireland, MSc, DVM, MBA***

17 ***Director General, Veterinary Drugs Directorate***

18 ***Health Canada***

19 DR. IRELAND: Thanks very much. Thanks. It is a
20 pleasure to be here today. And I wanted to take a moment to
21 thank my CVM colleagues for the invitation. It really is a
22 privilege to see the common issues that we're all facing as
23 regulators, but also hear the expertise and the speakers
24 they've brought in today. So, thank you very much.

25 So, I am talking today about the use of data from

1 foreign countries and really the perspectives of the
2 Veterinary Drug Directorate. For those that don't know, the
3 Veterinary Drug Directorate is the federal regulator for the
4 sale of veterinary drugs in Canada. And veterinary biologics
5 are actually regulated by the Canadian Food Inspection Agency
6 and so I'm really giving the perspective today around
7 veterinary drugs.

8 (Slide)

9 So, really two keys parts to my presentation.
10 The first is to explain how VDD uses data generated in other
11 countries in support of regulatory drug submissions.

12 And then I'm going to switch into something that
13 I feel very strongly about and that the VDD is very focused
14 on, is how we do international regulatory cooperation for
15 veterinary drug submissions. And I'm hoping as I tell this
16 story that you'll see that our approach to the first point
17 enables our experience and our participation in international
18 collaboration and why these two are quite interlinked and why
19 they both help us in terms of challenges and opportunities.
20 So, I'll try to weave that in.

21 (Slide)

22 So, I thought you might like to know a little bit
23 about our Canadian context. This is my regulating or
24 regulatory environment and so I have to keep this in mind.
25 Canada represents approximately 2.5 percent of the global

1 animal health market. And so decisions by companies to enter
2 Canada to file a drug submission as the first point of entry,
3 second or even third, are not based on the size of the
4 market.

5 Our stakeholders or other stakeholders like
6 animal owners, veterinarians and producers they want the
7 timely access to a very broad range of drug products that
8 they know are available in other countries. International
9 conference attendance, the Internet, all of these tools make
10 it very well known what's approved in different regulatory
11 jurisdictions and in some cases these products help maintain
12 animal health and in other cases they help producers, for
13 example, with their competitiveness.

14 Manufacturers as they tell me and some of you
15 here today will know more than me on this, but they are
16 looking for the harmonization of data requirements. They
17 don't want to duplicate studies coming into different
18 regulatory authorities and they want administrative processes
19 across the jurisdictions that are -- facilitate the filing of
20 drug submissions and make it easier to bring products to
21 market in multiple jurisdictions.

22 So, that is what I am mindful of every day and
23 it's certainly what my stakeholders remind us of on almost a
24 weekly basis. It's the timely access to the same drugs and
25 tools that benefit animal health and competitiveness as

1 competitors in other jurisdictions.

2 (Slide)

3 So, to first start on the use of data from
4 foreign countries. I will say that the Veterinary Drugs
5 Directorate accepts data from studies conducted outside of
6 Canada in support of our regulatory drug submissions. It
7 would very challenging for me to find a drug submission that
8 was compiled with Canadian data only. In fact, the majority
9 of the submissions would probably look like entirely US or EU
10 generated data. And some would be a compilation of a few
11 clinical trials conducted in Canada.

12 So, we see many of them coming from the United
13 States and the European Union in our dossiers.

14 We clarify our position, Health Canada's position
15 for our industry members around what is required in terms of
16 data and in the preparation for veterinary new drugs
17 submission guidance we say that it must meet the standard of
18 Canadian conditions of use.

19 We ask ourselves with every dossier, with every
20 evaluation, particularly when it's foreign data, will it be
21 efficacious and will it be safe for use in Canada in Canadian
22 conditions.

23 (Slide)

24 If the data is originating from a study conducted
25 outside of Canada the onus is on the manufacturer, the drug

1 manufacturer to demonstrate that these studies were conducted
2 under conditions similar to those found in Canada and really
3 answer the question the regulator has, is will the drug
4 perform, will it be safe and will it be efficacious in
5 Canada.

6 (Slide)

7 So, unapproved comparator products, and I notice
8 we added this because it was one of the questions, we will
9 accept unapproved comparator products as controls, positive
10 controls in regulatory trials, but we accept those on a case
11 by case basis. And there are many factors that we consider
12 and the evaluators consider when they look at that data.

13 The sponsor may need to bridge if we have
14 questions about what the molecule is, what the ingredient is,
15 do we have any familiarity with something similar in Canada,
16 it's the sponsor's responsibility to answer those questions
17 and to bridge the information so that we're comfortable with
18 that particular positive control.

19 It also helps when the positive control has been
20 approved by a trusted regulator. So, the European Medicines
21 Agency, the Center for Veterinary Medicine, the APVMA of
22 Australia, the New Zealand regulators, we know those
23 regulators, we know that the studies were conduct in their
24 jurisdictions, we know there is oversight and so that helps
25 in the decision that we take around positive control in the

1 drug product that was used.

2 (Slide)

3 So, when we think about will the product work in
4 Canada there are times that we may ask for additional
5 information. I would say that we don't often ask for
6 Canadian made studies, but the companies do have to answer
7 questions around, will the pathogen, is the parasite strain
8 similar to those or similar enough to those that are found in
9 Canada. The animal husbandry practices vary across the world
10 and are they similar, those studies that were conducted, do
11 they reflect the Canadian husbandry practices for dairy or
12 pork or for beef. And so it's a really important question
13 that companies will have to address as they file their
14 dossier.

15 There are genetic differences that we need to
16 keep in mind, and again companies will have to address that
17 in their filing.

18 We have climate issues. It's cold in Canada.
19 And so when we think about teat dips, which we regulate, we
20 need to make sure that we're taking into consideration the
21 humidity, the very cold temperatures. They're not the same
22 as in Florida. When we think about Calgary and Alberta in
23 the wintertime. So we really need to reflect on that and
24 make sure that we're taking those products and those factors
25 into consideration.

1 (Slide)

2 The other area where we use information that
3 helps bridge, it helps fill gaps, it helps inform the
4 evaluators is the use of post-market data for pre-market
5 submissions. So, if the product has been approved someplace
6 else, by a trusted regulator, we will extensively look at the
7 post-market information coming from those jurisdictions to
8 make sure we've got it right. To make sure the labeling if
9 it's approved is right. And to make sure that if we use the
10 information we have to fill gaps. And it's a lot like real
11 world evidence.

12 (Slide)

13 It is not without its challenges, using data from
14 foreign countries but we jump those challenges, we jump that
15 hurdle. But it's work for us. Data integrity varies between
16 countries. And it is hard to verify sometimes whether the
17 clinical trials were properly conducted. But when they're
18 conducted in countries for which we have a regulator that we
19 know and we know the system we have more confidence in those
20 trials.

21 For example, in the US there was regulatory
22 oversight and the CVM is obviously a trusted regulator.

23 (Slide)

24 The other challenge we face is that we have
25 limited ability to participate in study design. If the

1 | companies have already compiled the dossier, the studies have
2 | been done, we have not had the opportunity as a regulator to
3 | input on things that we think are missing, too make comments
4 | on the positive control that might be selected and so that's
5 | often a challenge when we see a dossier filed after it's been
6 | complied, the research has been completed because we have to
7 | work through those challenges and often that's a lengthy
8 | exercise and it's a lot of work for both the regulator and
9 | the company sometimes.

10 | (Slide)

11 | Meeting the Canadian geographic conditions and
12 | explaining the antiparasitics, antibiotics and climate, there
13 | is a lot of back and forth that has to go on with
14 | companies. There are scientific rationales that have be
15 | compiled. There are justifications. There are expert
16 | opinions. There are different ways to compile that
17 | information and we have to do those from time to time on a
18 | case by case basis.

19 | (Slide)

20 | When we do things on a case by case basis we
21 | introduce the possibility of not being consistent. And
22 | that's important as a regulator. We need to be predicable.
23 | And we don't want variation amongst reviewers. So, that case
24 | by case is not optimal. But that is sometimes the reality of
25 | a regulatory review of foreign data.

1 (Slide)

2 So, the data requirements as I mentioned before,
3 when it's filed in a complete dossier, if we have questions,
4 if we had concerns on the trial design, if we had questions
5 on the positive control or if we had been able to influence
6 the sites of the clinical trials to the bordering states, for
7 example, in the United States, it's beneficial for us and it
8 cuts out some of the back and forth with the companies and
9 having to fill gaps in other ways. So, those are our
10 challenges.

11 (Slide)

12 So, I wanted to switch into international
13 regulatory cooperation. And I would say that the space that
14 I'm about to talk about is really enabled because of our use
15 of foreign data.

16 (Slide)

17 So, we are currently conducting reviews of
18 veterinary drug submissions with different various regulatory
19 partners across the world. The first is a simultaneous
20 review with the United States Food and Drug Administration
21 Center for Veterinary Medicine. The second is joint reviews
22 with the Australia Pesticide and Veterinary Medicines
23 Authority and the New Zealand Ministry of Primary Industries.
24 And the third is an opportunity for joint or simultaneous
25 reviews of which we have just engaged with, with the UK

1 Veterinary Medicine Structure and I'll go through each of
2 these models.

3 (Slide)

4 So, first the simultaneous review with the CVM
5 it's under the umbrella of the Regulatory Cooperation Council
6 Initiative. We accept submissions being filed for new drug
7 submissions, supplements and we have recently opened this up
8 further to include genetics.

9 (Slide)

10 So, the premise of this model is that a company
11 files the same submission, the data sets are the same at the
12 same time to both regulators. And so you can see here if the
13 data is coming into the CVM and we are accepting the same
14 data really we're in a better position of we can use the data
15 without having to have add-ons by the company. So, without
16 particular issues having to be addressed with a Canadian
17 study. Or additional justification. Or scientific opinion.

18 So, the premise is that we are largely, almost
19 exclusively, taking the data that is being filed to the CVM
20 and we are reviewing that at the same time. It's an
21 independent review. The reviewers are discussing their
22 findings with each other. And it is done in parallel and
23 independently. And we make independent decisions.

24 (Slide)

25 And to date we've had eleven veterinary drugs

1 approved under this pathway and seventeen others have been
2 accepted for review or ongoing under review. And so this has
3 been a key, key factor of achieving the second point that I
4 stated out in the Canadian context. Simultaneous access to
5 drugs by our producers, veterinarians, animal owners, is
6 facilitated by this particular model with the CVM in North
7 America and the premise of this is accepting the same data
8 set with very few differences.

9 (Slide)

10 We're also conducting joint reviews with Austria
11 and New Zealand, the regulators there. And in that
12 particular model the sponsor files a submission with all
13 countries simultaneously. Again, to the extent it can be the
14 same data, it should be the same data. The work is shared in
15 this particular model so each regulator leads a particular
16 set of review on efficacy, safety, product management is
17 shared as well. And then the second reviews are done
18 independently by the regulators themselves. And again the
19 reviewers discuss their findings and we risk manage usually
20 in a similar way.

21 (Slide)

22 So, the assessments are used by the regulators as
23 the basis for independent regulatory decision making. And
24 we've completed one review and we have two underway.

25 And again, the use of foreign data factors

1 largely into this model. Studies done in Australia and New
2 Zealand, if it makes sense for us to accept them in Canada as
3 a regulator, we will endeavor to do that.

4 (Slide)

5 So, international collaboration is possible for
6 us because the regulators that are involved in this space
7 have a willingness to see commonalities in regulatory
8 systems and to learn by doing. And this I would say is a
9 group of like-minded regulators.

10 We have harmonized technical requirements
11 established through VICH and we have food safety standards
12 that are quite harmonized under CODEX. And again as I've
13 mentioned a few times now, the acceptance whenever possible
14 of data generated in other countries and avoiding the need to
15 duplicate studies makes international collaboration and
16 companies willing to participate in these models possible.

17 (Slide)

18 There is ongoing exchange of scientific
19 information and all of the regulators that I have mentioned
20 are very forthright in that and we share review reports and
21 discussions between evaluators to risk mitigate and to
22 ultimately approve these products, we have done eleven times.

23 (Slide)

24 We have systems in place to protect confidential
25 information which is provided by sponsors and these are

1 memorandums of understanding between the regulators.

2 So, that is what makes international
3 collaboration possible for us and for me to achieve
4 objectives of the expectations of our stakeholders, which is
5 the timely access to safe and effective drugs in Canada.

6 (Slide)

7 So, that is the story of both using foreign data
8 as it relates to the Veterinary Drug Directorate, and
9 international regulatory cooperation.

10 I would just say before I close that some of the
11 challenges I highlighted earlier in the presentation around
12 the use of foreign data are actually resolved when we work
13 together.

14 First of all when we conduct Regulatory
15 Cooperation Council simultaneous reviews with the CVM we
16 often get engaged by the companies early on, when they are
17 developing their clinical trial protocols. And so, when we
18 receive a dossier at the end of the day that is already
19 formulated and has used a control that we don't know very
20 much about, that is eliminated by upstream activity on our
21 part in helping to design the clinical trial, which occurs
22 when we conduct in regulatory cooperation. So, many of those
23 challenges actually get ironed out when we work together as
24 regulators and we work together early.

25 And so I hope it's helpful as I explained our use

1 of foreign information. I would reiterate that in many cases
2 this is done on a case by case basis but with effort and
3 collaboration and coordination and communication with
4 companies, we can quite often achieve really a win/win
5 solution. So, thanks very much for your attention today.

6 (Applause)

7 MS. LAZO: Thank you, Doctor Ireland. Now, I'd
8 like to invite our next speaker, Doctor Sharon Chase from
9 Schafer Veterinary Consultants.

10 *Invited Speaker No. 2*

11 *Sharon Chase, DVM, MPH*

12 *Schafer Veterinary Consultants, LLC*

13 DR. CHASE: Hello. It's good to see some faces
14 from before. I'm Sharon Chase. I'm trained as a small
15 animal vet. I also have background in public health. And I
16 worked at CVM for a number of years. And now I currently
17 work for Schafer Consultants, based in Fort Collins,
18 Colorado. So, I've been told that I am a little bit soft
19 spoken. So, I'm going to -- anyways I am very excited to be
20 here today. So, thanks very much for the opportunity to be
21 here.

22 (Slide)

23 So, I'm just going to go through each question
24 one by one with some examples and then some challenges and
25 then some solutions.

1 (Slide)

2 So, first question, and an example that we see
3 very often foreign CROs may have FDA experience but not CVM
4 experience per se. On the other hand they may have EMA
5 experience, but not have worked with the FDA in the past at
6 all.

7 So, the challenge is it's sometimes hard for
8 firms to understand why CVM is not the same as other branches
9 of the FDA and why it's not the same as the EMA. So, how can
10 we find a solution.

11 (Slide)

12 So, one idea is to prepare a high level document
13 that compares and contrasts requirements between CVM and
14 CDER, for example. Another option is to consider an on-line
15 course with the EMA and also amend this to add in Canada, to
16 talk about the different requirements. And I really feel
17 that increased awareness and just talking through this would
18 lead to much better outcomes and less frustration for all
19 sides. And ideally would also lead to more approved
20 veterinary products. Which is all of our goals.

21 (Slide)

22 More solutions. Work with the EMA to create a
23 guidance that outlines what's different and what's similar
24 between the two bodies approval processes. And this is
25 something that I'd also like to propose to create a seminar

1 | in that industry.

2 | And so for an example, just this past Spring,
3 | CDER and CDRH held a seminar in Boston. And the conference
4 | was basically a hands on opportunity for people to meet with
5 | individuals from the FDA, to talk about how to file with the
6 | FDA, how to get drugs approved with the FDA and at the end of
7 | the day there was an opportunity for questions. So, that
8 | would immensely helpful for industry.

9 | (Slide)

10 | Question number two, so, in a perfect world firms
11 | would contact CVM or the EMA or Canada before they actually
12 | run their pivotal studies to ensure compliance between their
13 | agencies. But unfortunately this is often not the case. So,
14 | looking backwards how can we solve this problem.

15 | So, one path forward is to consider combining the
16 | results of an non-inferiority study evaluating an unapproved
17 | comparator, with a smaller, shorter duration US field study
18 | using an acceptable active comparator. And these two studies
19 | together could provide substantial evidence of effectiveness.

20 | I just want to add the caveat here that this
21 | could be impacted by the length of time, the unapproved
22 | active has been on the market with the EMA as well as any US
23 | field experience with the unapproved active comparator,
24 | either positive or negative. And then the incident of types
25 | of adverse events or AEs, reported for both the IVP and the

1 comparitors in the PSURs as reported to the EMA.

2 (Slide)

3 Some more ideas, if the study was well powered
4 and it was run using a valid protocol you could consider
5 evaluating the results with published literature, any pilot
6 studies that you have available and then run a small US based
7 study and then take all that data and then run either a
8 systematic review or if it's appropriate based on the stats
9 run a meta analysis. And this could provide a reliable
10 estimate of the IVP's expected effect in the field.

11 (Slide)

12 Question number three. So, the challenge that we
13 see often is in order for foreign study results to be
14 applicable for US approval and to limit bias as much as
15 possible, there should be confidence that the study
16 populations are comparable.

17 So, one solution is sponsors can provide a
18 background like a white paper that lists the basically main
19 points of the animal health industry and their respective
20 country. And so, this could be things like animal
21 demographic. What is the estimated county-wide species
22 population. What are the typical living conditions and
23 husbandry practices for this species.

24 For companion animals, for example, do they live
25 outside with owners or outside in kennels. What is the

1 average expenditure by owners for vet care. And if known,
2 what is the number of cases seen each year in each species.

3 (Slide)

4 CVM question four. So, the challenge that we see
5 at Schafer, we have clinical team, as well, and they often
6 see that field studies designed to meet EMA requirements need
7 to include ABON coding, which is a causality assessment for
8 adverse events. And of course this is not required by CVM.
9 But this adds a lot of additional time and training need to
10 achieve compliance in the field.

11 And AE reporting to CVM is often very hard for
12 foreign sponsors to understand, why is it not the same, why
13 are there these differences.

14 And so, possible solutions, well of course the
15 ABON is a EMA requirement. So, there is no going around
16 this. This is for companies that want to pursue approval by
17 both the EMA and the FDA, this is a requirement. So, the
18 best solution might be increased awareness of how the EMA and
19 CVM is different in terms of requirements for reporting field
20 study AEs.

21 And this going back to my first slide, the first
22 couple of slides, this can be accomplished through webinar
23 training and preparing a white paper that shows the
24 requirements for AE reporting, for EMA and CVM.

25 (Slide)

1 Question number five. So, one example foreign
2 firms and also US based smaller companies may not have
3 previously done file conversion from Excel and Access to XPT
4 or XML which is required for eSubmitter, trying to process
5 eSubmitter submissions to CVM. And so the challenge is
6 fairly straight forward to explain how to convert one or two
7 small files, but trying to helping someone to covert an
8 entire data set, especially if it is an EDC from a large
9 field study, is very time consuming and there is the risk of
10 errors in this process.

11 (Slide)

12 So, one way to move forward on this is to provide
13 step by step instructions on how to convert files. So,
14 ideally this would be done in English, of course, but also in
15 other languages spoken by foreign firms, such as French,
16 Spanish, Chinese, and Japanese. And this information could
17 be shared in a Webinar or on the CVM webpage.

18 Another tool could be to use project managers to
19 provide general assistance with helping firms understand what
20 files are needed from EDC studies and how to gather that
21 information would be a huge benefit to industry.

22 (Slide)

23 Another challenge, use of scientific notation and
24 foreign data is inconsistent sometimes with US practices.
25 For example, the use of a comma instead of a decimal point

1 | could lead to significant errors during a file conversion.
2 | And I have an exclamation point here because this strikes
3 | fear in my heart when I see this on a data set. But the
4 | great news is that it's pretty straight forward to fix this
5 | moving forward.

6 | Foreign sponsors should ensure that data used to
7 | fill CVM requirements should use a decimal point and other
8 | scientific notation as appropriate.

9 | (Slide)

10 | Another challenge, foreign sites may not provide
11 | a reference range for blood work obtained in field studies.
12 | And this might make it challenging to interpret individual
13 | results and trends from field studies.

14 | So, some solutions, sponsors should attempt to
15 | use foreign labs that have species specific relevant
16 | reference ranges. But if these are not available this
17 | sponsor can consider providing additional stats to help
18 | interpret their results. And this includes things like
19 | frequency distributions, histograms and combined presentation
20 | of individual animal data over all time points from a field
21 | study.

22 | (Slide)

23 | And CVM question six. So, the challenge is,
24 | requirements are sometimes challenging to understand by those
25 | whose first language is not English. And so one straight-

1 forward solution would be to provide translated copies of
2 frequently referenced guidances. And of course, there is a
3 cost with this. But the cost for translation is likely
4 minimal compared to the cost associated with failed
5 submissions and the need to repeat studies.

6 And that's all I had today. I would just like to
7 say thank you very much for this opportunity.

8 (Applause)

9 MS. LAZO: Thank you, Doctor Chase. And now I'd
10 like to invite out next speaker, Doctor Richard Clemence from
11 Dechra Pharmaceuticals.

12 *Invited Speaker No. 3*

13 *Richard Clemence, BSc, MSc, Ph.D., MBiol MRQA*

14 *Dechra Pharmaceuticals*

15 DR. CLEMENCE: Thank you very much. Good
16 afternoon, everybody. My name is Richard Clemence, I work
17 for Dechra Pharmaceuticals as the head of Global Clinical.

18 Firstly of all I would like to thank CVM for the
19 opportunity to come and speak here today. And secondly thank
20 both my colleagues and friends in Dechra but also in the
21 wider animal industry for their opinions, comments and real
22 life stories that they've contributed to what I am about to
23 say today. Sorry, pressing the wrong button. I went for the
24 obvious arrow.

25 (Slide)

1 I'm not going to go through the list of
2 questions, you've seen them several times. I'm also not
3 going to answer them question by question. But I hope by the
4 end of my presentation you've got a flavor of my thoughts on
5 each of the six questions that were asked of us as speakers.

6 (Slide)

7 In thinking about how to address these six
8 questions some headlines or key assumptions, I think, that
9 came to mind. First of all, I think it's important to state
10 up front that CVM and industry we share two common goals in
11 this regard. One is the licensing of new safe and effective
12 veterinary medicines. And the other one is what we heard
13 this morning is support for animal welfare in terms of animal
14 testing and the requirements for registration of those
15 veterinary medicines, the refinement, reduction and
16 replacement of animals where possible.

17 I think we would also well recognize that the
18 costs of both studies and development programs as a whole are
19 rising, inevitably has an impact on what products do and
20 don't get registered.

21 Although today we are talking about the
22 challenges of getting foreign studies accepted by CVM,
23 substantial evidence, we should all remember and it's been
24 clearly stated, they are already clearly stated as an
25 accepted data source within the regulations.

1 The challenge from an industry perspective, I
2 believe, is that what is and not acceptable is often
3 difficult to discern. And without clear guidance companies
4 often revert to doing stand alone studies in all of the
5 geographies in which they're seeking registrations or a large
6 majority of them, rather than at the very least trying to
7 combine data sets to reduce animal numbers and costs.

8 (Slide)

9 So, two open questions. Can CVM provide more
10 specific guidance to industry. And are there already ways to
11 allow more foreign studies to be considered than are
12 currently being fully utilized.

13 (Slide)

14 So, when we consider submitting foreign data or
15 data from foreign studies, I think there are two clearly very
16 different situations. There is the planned study versus the
17 already completed foreign study. Clearly in a prospective or
18 future study this offers the opportunity for the sponsor or
19 the animal health company to have an up front conversation
20 with the agency to insure that the data to be generated will
21 provide substantial evidence to support a US submission. And
22 the obvious way of doing that is to obtain protocol
23 concurrence. That's the optimal way to try to insure future
24 acceptance of the study.

25 But as we all recognize for both parties it has a

1 | time and a cost consequence. And if the intention also to
2 | submit that study in another country, normally the one in
3 | which you are conducting the study, then there may be
4 | competing requirements from that agency.

5 | Well, as Doctor Lazo pointed out right at the
6 | beginning, there is already a mechanism, and I would like to
7 | come back to it later, which -- for certainly between the EU
8 | and EMA -- EMA and CVM of obtaining parallel scientific
9 | advice. And as we've also heard from Doctor Ireland, there
10 | are also other ways whereby the regulators collaborate. I'll
11 | come back to that.

12 | Obviously a compromise to seeking protocol
13 | concurrence is simply to meet with the agency or communicate
14 | with the agency to discuss plans and align on key features.

15 | And more specifically, if you're using an active
16 | control in a firm study, try to use one which is already
17 | licensed in the US if feasible. Dechra has previously done
18 | that way before my time, so I'm not claiming any credit here.
19 | But there was no suitable license positive control in the
20 | country in which they wished to conduct the study and
21 | therefore they sought a license to import the unlicensed
22 | active control, in this case to France, ran the study against
23 | the IVP, successfully got registration.

24 | The alternative as we've heard from some of the
25 | other speakers is to design and conduct a foreign study and

1 | the US study to a common or a very similar protocol to enable
2 | a cross-trial analysis to be conducted retrospectively.

3 | Obviously, if your foreign is already completed,
4 | you have far less flexibility. If the product under test is
5 | already licensed and used in other countries and if your
6 | study is well run, well controlled, with strong statistical
7 | evidence of efficacy against appropriate control, can it be
8 | supported by real world evidence. We've heard a lot about
9 | real world evidence in a preceding session today.

10 | And will it therefore provide a total package
11 | sufficient for a full approval in the US of the desired
12 | claims or perhaps for a conditional license.

13 | (Slide)

14 | So in thinking about the different types of data,
15 | that a sponsor might have, I drew up this very simple
16 | decision tree trying to illustrate the options, the colored
17 | boxes on the slide are intended to increase -- to indicate
18 | the increasing degree of risk when considering your foreign
19 | study. So, I am moving from green to amber to red.

20 | I would assert generally safety data is always
21 | admissible.

22 | If we look here at the -- when I first drew this
23 | diagram, I had a lot more levels to it. Before I got down to
24 | the conduct a gap analysis. So, for a completed study for
25 | example I would suggest -- and it was eluded to in some of

1 | the earlier presentations -- whether you've conducted that
2 | study in a region that is a signatory to the VICH, for
3 | example Europe or Japan. That might increase the credibility
4 | of the study. It's likely to increase the rigor with which
5 | you've probably done the study and the data set you've
6 | compiled.

7 | You may indeed have done the study in a country
8 | where study sites are inspected, Denmark would be an example
9 | in the European Union. That again reduces the risk. But
10 | nevertheless, whether it's a completed study or the other
11 | options over there, at some stage you're going to have to
12 | conduct a gap analysis compared to the regulations in the
13 | country where you were doing the study versus those required
14 | for CVM submission. And try and bridge any gaps.

15 | (Slide)

16 | So, it says at the bottom right of this slide,
17 | this is not a complete list. It's just intended to highlight
18 | some of the key areas. I notice people laughing, maybe it is
19 | a complete list.

20 | (Laughter)

21 | DR. CLEMENCE: But I wouldn't be bold enough to
22 | say it is. But it's intended to highlight some of the key
23 | areas for consideration when determining inferential value of
24 | foreign study for US submission. And I'm just going to
25 | highlight a few of the points here.

1 Clearly whether or not the exact same product has
2 been used in the foreign study, as you intend to try and
3 register in the US is key. Without this the study is highly
4 unlikely to provide any substantial evidence. I think that's
5 self-evident. Sorry.

6 If the product is already licensed or the product
7 and the test is already licensed in the US for another
8 indication, it's obviously going to be much easier for CVM to
9 interpret the value of any foreign data for new indication or
10 new species.

11 The age of the data to be presented, that's
12 clearly important, but the older the data the lower the
13 likely inferential value to any regulatory agency.

14 And the sponsor's ability to submit raw data, for
15 example patients and owner details, will come back to this on
16 a subsequent slide, but one marked difference sponsors
17 observed between different agencies is the type and amount of
18 information that's required to be submitted as raw data.

19 The use of real world evidence of safety and
20 effectiveness. And I would suggest this is an area where the
21 agency and industry can work together to improve the routine
22 acceptance and value of such data and indeed we've heard a
23 lot about that earlier.

24 (Slide)

25 So, can we categorize studies as to their higher

1 or lower inferential value. Some studies to determine the
2 effectiveness and safety of medicines for some disease
3 indications in some animal species may be more globally
4 applicable and relevant as evidence of effectiveness than
5 others.

6 For example, pain, cancer, neurological and
7 metabolic conditions in diseases are probably less influenced
8 by extrinsic factors, such as climate, husbandry or
9 production system than infectious diseases. And for many of
10 those conditions or diseases they are of course significantly
11 linked to intrinsic factors such as genotype, age, sex,
12 reproductive status, et cetera. But with detailed
13 information on the patient population recruited in the
14 foreign country, on the husbandry of the animal before and
15 during the study, I think the inferential value can often be
16 maintained.

17 Of course if the disease pathogenesis is
18 significantly effected by climate and husbandry than the
19 inferential value of the study done outside the US may be
20 lower to the US. But of course, climate and husbandry do
21 vary widely across the US, so such data may have relevance
22 for the US, also.

23 And I did try and thing if there are any foreign
24 studies that have no inferential value at all to a US
25 submission. In my opinion the answer is clearly no, all

1 studies are of value if well conducted. At a minimum in
2 supporting the safety of the product under investigation and
3 they can, of course, provide inferential value on
4 effectiveness.

5 (Slide)

6 So, challenges with -- sorry -- what are the
7 major challenges to acceptance of foreign data. And I tried
8 to highlight five here. So, study design differences,
9 differences in interpretation of VICH, GCPV, submission of
10 raw data, evidence of data integrity and differences in study
11 masking requirements.

12 If we come back to study design, for example, the
13 US preference to have placebo controlled studies where
14 possible. And I would suggest the mitigation is to discuss
15 any differences in study design or if conducting several
16 studies across more than one country, a foreign country for
17 example and the US, use the same or similar design as
18 possible.

19 Clearly for some indications that's easy. Motion
20 sickness in dogs or cats, but for more complex diseases
21 situations such as bacterial infections in cattle, it may be
22 much more challenging.

23 Differences in interpretation of the VICH, GCP,
24 this I think is often the most challenging for sponsors to
25 predict and avoid. And mitigation, I just wonder whether CVM

1 | could provide a checklist of expectations based on
2 | observations of issues from previous submissions.

3 | Submission of raw data. I think there are two
4 | challenges here. Different agencies have different views on
5 | what is and is not raw data. And secondly, unlike the US
6 | many countries don't currently require the submission of raw
7 | data. Although it should be noted that the number of
8 | sponsors do routinely submit raw data with their
9 | authorization applications in other countries.

10 | Many sponsors, I have heard sites data protection
11 | laws, particularly in Europe, is reasons why it is difficult
12 | to submit raw data. I don't actually believe that with good
13 | planning that is reason why you can't submit raw data. Under
14 | the European data protection laws, provided you obtain
15 | informed consent up front data can be submitted under certain
16 | circumstances. And they're not too restrictive.

17 | Evidence of data integrity. This is what all
18 | sponsors strive for, for all studies, but there are
19 | differences in what is considered sufficient evidence. Just
20 | one simple example I would give you is when conducting a
21 | field study in a non-English speaking country, I would always
22 | suggest doing it in the native language, for the simple
23 | reason is that you can provide a translation of the raw data
24 | and if the translation is considered inaccurate you can
25 | repeat it. However, if the investigator didn't understand

1 | the question on the English data capture from the first
2 | place, there is no way you can replace that data.

3 | And finally, differences in study masking
4 | requirements. This is not US specific but it does often seem
5 | CVM take the toughest line here. Masking requirements do
6 | need to be practical and workable as well as fit for purpose.

7 | (Slide)

8 | So, foreign studies may not -- sorry -- foreign
9 | studies may use an active control not used in the US. The
10 | simplest solution to this issue is obviously if the foreign -
11 | - if the sponsor has a choice of active controls for foreign
12 | studies, choose one that is already licensed in the US or if
13 | feasible, as I was illustrating earlier, import one. But we
14 | all know that's often not possible. The more complex but
15 | usual option is to use a locally licensed product as the
16 | active control in the foreign study.

17 | I think in this situation you have two choices
18 | and they're not mutually exclusive, one is to run a foreign
19 | study and the US study to a similar protocol as possible and
20 | enable a cross-trial analysis and keep the animal numbers to
21 | a minimum across the two studies. And the second one,
22 | certainly within the foreign study but also in the US study,
23 | is capture as much additional evidential data as possible
24 | particularly on the foreign study to demonstrate the
25 | applicability and efficacy of the IVP to the US population at

1 large. And provide as much additional evidence as possible
2 to demonstrate the validity of the study and the data
3 generated irrespective of that active control.

4 (Slide)

5 And in closing I'd just like to suggest two other
6 ideas. Actually one of which was touched in Doctor Lazo's
7 opening comments here. For sponsors when conducting
8 certainly EU and US programs and we've heard from Doctor
9 Ireland information about other collaborations between
10 regulators, but consider use of the parallel scientific
11 procedure process between the US and Europe. And for CVM
12 consider broadening the use of conditional approvals to
13 include products already as registered in other major markets
14 when supported by real world data.

15 So, the parallel scientific advice process, it
16 enables the company as we've heard to received unified advice
17 from FDA and EMA, has been available for over ten years and
18 it seems to me that it's more widely used on the human side.
19 And I detect amongst the animal health companies just on a
20 straw poll that there are still concerns that seeking advice
21 via this route may result in a company needing to design a
22 development program of study to meet the higher of the two
23 standards. There is almost a fear of a bidding war between
24 the two agencies.

25 Rather than as was intended when the process was

1 set up, the two agencies coming together to reach consensus.
2 I don't believe that's actually the reality and according to
3 one global CRO that I spoke to, which has conducted a large
4 number of these parallel scientific processes and indeed at
5 one stage had done the majority of them, they looked back and
6 did some analysis and in all cases they were able to say,
7 that compared to the existing programs that they were
8 proposing for Europe and North America, following parallel
9 scientific advice, the overall program was reduced in size
10 and cost to the benefit of the sponsor.

11 So, I think it's an underused process by the
12 industry in terms of veterinary medicine registrations.

13 The current US conditional approvals process as I
14 understand it is designed to encourage the development of
15 products for MUMS, unmet or difficult indications. I would
16 propose that CVM considers broadening this to include US
17 registration of some products already registered in other
18 countries which CVM considers to have a robust regulatory
19 process when supported by real world evidence.

20 (Slide)

21 So, in conclusion, I do recognize and I think we
22 all recognize that one size doesn't fit all when considering
23 foreign data as part of the US submissions. But as we all
24 know, foreign data are an accepted study type. And I think
25 that we, the industry and the regulators need to work

1 together to try to leverage foreign data, whether it be for
2 US submissions of all US data for European submissions or
3 Canadian submissions or wherever, wherever possible to try
4 to reduce animal testing and speed the flow of safe and
5 effective veterinary medicines to market.

6 And the two potential opportunities I would leave
7 you with would be CVM broadening conditional approvals and
8 industry, at the very least, looking at the parallel
9 scientific advice process more closely. Thank you very much.

10 (Applause)

11 MS. LAZO: Thank you, Doctor Clemence. And now
12 I'd like to invite Doctor Kristi Smedly from CFR Services.

13 ***Invited Speaker No. 4***

14 ***Kristi Smedley, Ph.D.***

15 ***CFR Services, Inc.***

16 DR. SMEDLEY: Thank you very much for inviting me
17 today. I am a unique person as many of you know, I am your
18 only feed person talking today. So, I've taken this
19 opportunity to provide some additional information so maybe
20 you will understand some of the unique areas that we have in
21 feed ingredients.

22 (Slide)

23 So, the FDA Division of Animal Feeds is the group
24 that looks at animal feed submissions. And lots of times
25 when I try to explain who the Division of Animal Feeds is,

1 | it's like take all of ONADE, shrink them down significantly
2 | and have them look at animal feed ingredients and that's
3 | pretty much what it is.

4 | They look at three different types of
5 | submissions, food additive petitions, animal GRAS notices and
6 | requests for AAFCO definitions. These submissions generally
7 | cover manufacturing information, utility, which you guys
8 | pretty much know as efficacy, target animal safety, human
9 | food safety and environmental safety. These are the same
10 | areas that we review for animal feed. I mean animal drugs,
11 | also.

12 | (Slide)

13 | Data requirements vary significantly based on the
14 | ingredient, the submission type and the available public and
15 | published data. In some cases specific safety or utility
16 | studies, which are often times conducted under GLPs and GCPs
17 | are required and others a white paper assessment of available
18 | data is satisfactory.

19 | Data from studies conducted in foreign countries
20 | in many circumstances may support FDA feed submissions,
21 | either as stated -- other as the specific studies or in
22 | support of the white paper assessment.

23 | (Slide)

24 | And as was said by the previous speaker and I'm
25 | sure many other speakers today, generally safety studies

1 | conducted in accord with GLP or OECD guidances are found
2 | acceptable to support a feed ingredient submission. There is
3 | always caveats to this, but that is a general statement.

4 | Often times FDA will request a GLP statement
5 | identifying how the study does not meet GLP requirements if
6 | the study was not conducted and that can sometimes be --
7 | difficult for our feed ingredient manufacturers to provide,
8 | but it is one way of meeting this requirement.

9 | (Slide)

10 | It's far less likely that the Division of Animal
11 | Feeds will accept foreign studies to support the utility or
12 | the efficacy studies required to support a feed ingredient
13 | submission. This is for a number of reasons. And I will go
14 | through some of those reasons.

15 | (Slide)

16 | The Division of Animal Feeds generally requires
17 | these studies to be conducted using typical US feeds,
18 | corn/soy diets and also they frown upon the fact that if they
19 | use any other ingredient that's not already listed or
20 | authorized for use in the United States. So, perhaps
21 | vitamins or minerals that are used in the country of that you
22 | are doing the study in, they may not be authorized in the
23 | United States. And sometimes other ingredients are in the
24 | feed. And they are also interested in using animal stock
25 | generally similar to those found in the United States.

1 (Slide)

2 Often foreign studies pivotal parameters are not
3 consistent with the Center's policy for acceptable claims for
4 feeds. Which are found in the Policy and Procedure Guide
5 1240.3605.

6 (Slide)

7 The European Union, Canada, Brazil, Japan, China
8 and many other regulatory jurisdictions permit data
9 demonstrating that feed ingredients can maximize animal
10 production. Feed efficiency and average daily gain to
11 support the utility of new feed ingredient. And this is a
12 very typical way of demonstrating utility in these
13 jurisdictions.

14 The Center for Veterinary Medicine does not
15 permit the use of animal production parameters to support
16 what would be the pivotal parameter for feed ingredients.

17 I'll go on and you'll see later in my
18 presentation that I would suggest that this is contrary to
19 the Federal Food Drug and Cosmetic Act. But that is their
20 policy currently.

21 There are other jurisdictional differences and
22 other parameters that are not permitted to support utility of
23 animal feed ingredients. And I know that even in Canada
24 there are some things that are permitted in the US that
25 Canada doesn't permit. So, there is not, as far as efficacy

1 or utility, there is not this consistency that would be
2 helpful for the feed industry.

3 (Slide)

4 I went through a few of the questions that were
5 germane to feed ingredients, as I was asked. And this was
6 one of the questions about whether or not there was -- I
7 provided a solution and so or I suggest a solution.

8 If a full study report is available generally the
9 conditions are provided so we can demonstrate that the
10 conditions that are used are similar to the US. However,
11 published studies, which we often rely on in feed ingredients
12 do not have that detail. So, it's very difficult to
13 demonstrate whether or not these conditions are equivalent.

14 If the investigator is not available to provide a
15 compliance statement that also can sometimes result in an
16 unusable study.

17 Foreign translations of scientific terms, may
18 also result in unusable data. And we had a very good
19 discussion about how sometimes just the record keeping is --
20 would suggest that the data can't be useable.

21 A solution that I'd like to offer or suggest is
22 being that what these products are, they're feed ingredients,
23 they're meeting the nutrition of the animal, or they're
24 providing technical ingredients, how important is meeting US
25 conditions on the measured parameters and have we perhaps

1 | gone a little too far with that whole idea that a feed that
2 | includes wheat as a major ingredient is so substantially
3 | different from a corn/soy type diet.

4 | (Slide)

5 | Another question that was posed by CVM, and my
6 | suggestion is the significant challenge for utility studies
7 | to select and validate pivotal parameters to demonstrate
8 | utility or effectiveness in multiple jurisdictions.

9 | These -- this industry is small, it's a very
10 | small industry. And to try to run a study efficiently and
11 | effectively that would be acceptable across a number of
12 | jurisdictions where there are small nuances between every
13 | pivotal parameter makes it really difficult to be effective
14 | and efficient in using animals and the other resources that
15 | are available.

16 | And as others have suggested we are doing --
17 | we're just now beginning to do some VICH type guidances
18 | between the different regulatory agencies, but there needs to
19 | be both some -- these guidances are important. But we also
20 | need some better discussion in-house as to what is and what
21 | is not acceptable.

22 | (Slide)

23 | And as I alluded to earlier, specifically for
24 | utility studies the Center should revoke the working policy
25 | of the use of animal production -- which prohibits the use of

1 animal production parameters, as it is contrary to the
2 Federal Food Drug and Cosmetic Act, 301(g)(1)(c), which
3 specifically states food may affect the structure and
4 function of animals. This would permit the broader use of
5 cross jurisdictional utility studies.

6 And if there is one thing that I would like to
7 renumerate* here is this is so important. We are using
8 animals inefficiently. We are not effectively able to do
9 this because of a policy that was made in 1998. And I think
10 it's time that CVM goes back and reconsiders this policy.

11 (Slide)

12 So, what other challenges exist in the study
13 conduct and the collection and interpretation of foreign
14 data. We have minor differences in study conduct
15 requirements, minor differences in experimental designs,
16 minor differences in statistical interpretation of the data.
17 When the raw data package is available you can ameliorate
18 this but it makes it very difficult. And I think some of
19 this can be -- one solution is to have a more accurate
20 understanding of the impact of feed matrixes on the
21 parameters that we are assaying for feed ingredients. Which
22 are not drug like parameters. These are feed parameters that
23 look at just nutrition and they -- and the nutritional
24 value of the feed.

25 (Slide)

1 And the last question was, what other challenges
2 have you encountered and -- the challenge that chose to
3 describe there is the division scientist are typically more
4 comfortable in accepting data from US scientists. They
5 especially like investigators that they are -- that are known
6 to the reviewers. And I understand that. I have been a
7 reviewer at the Center for Veterinary Medicine in the
8 Division. But it's time for us to be outside of that box.

9 It's necessary for the Division to be more
10 cognizant of the financial and the animal costs of additional
11 studies when adequate data is available from foreign sources.

12 It's also important for these feed ingredients to
13 understand we now have a very sophisticated animal industry.
14 There is a real question as to the need for this level of
15 efficacy or utility data when there is not a single large
16 animal producer out there that will accept a new product
17 without testing it in their own system. And that's just
18 what's understood and that's what's the current status of the
19 animal industry.

20 So, I think it's time for the industry to meet
21 with and discuss these changes with the Division and come out
22 with a workable solution that assures the safety and utility
23 of all feed ingredients, but does not need to go that N-th
24 degree. Thank you for your time.

25 (Applause)

1 MS. LAZO: Thank you, Doctor Smedly. And now
2 we're going to take public comments. And I believe we have
3 one person, Nikki Phillips.

4 ***Public Comment***

5 ***Nikki Phillips, VMD***

6 ***Elanco Animal Health***

7 DR. PHILLIPS: I'm Nikki Phillips with Elanco
8 speaking on behalf of AHI. Registration in the United States
9 for animal health products that have been previously approved
10 and marketed in non-US geographies usually requires
11 relatively extensive development programs despite the
12 availability of foreign data. This may unnecessarily
13 increase animal use, hinder the speed of registration and
14 ultimately reduce the timely access of therapeutic options
15 available to veterinarians and animal owners in the U.S.

16 This public meeting is timely as AHI sees
17 opportunities to facilitate the use of foreign data without
18 negatively affecting the quality, safety or effectiveness of
19 animal health products.

20 There are two main challenges AHI members would
21 like to highlight regarding the use of foreign data from
22 previously conducted studies for CVM submissions.

23 The first challenge is that the lack of protocol
24 concurrence prior to study conduct often leaves the sponsor
25 vulnerable to the determination that the study design is

1 considered to be inadequate or insufficient by the CVM to
2 support certain claims. For instance, in the EU, it is
3 common to conduct studies against a positive control to
4 demonstrate non-inferiority. In the US however, studies to
5 demonstrate superiority over a negative control are preferred
6 to by the CVM. This can pose a significant barrier to the
7 CVM's acceptance of data collected from studies conducted in
8 foreign countries.

9 The second challenge is that the building blocks
10 of dossier in other countries often different from those
11 required in the United States. For example, requirements for
12 submitting raw data are different in the US than they are in
13 the EU.

14 Work done through VICH has helped to harmonize
15 data requirements in key technical areas. AHI values CVM's
16 support and active engagement in VICH. However, how
17 regulatory authorities implement the data requirements does
18 result in different processes that make it more difficult to
19 submit data originally intended to be submitted to a foreign
20 regulatory agency.

21 In addition, privacy laws in some other
22 countries, like Europe's General Data Protection Regulation,
23 don't allow sponsors to share information that US regulatory
24 agencies often require to substantiate individual cases.
25 These laws prohibit the collection, sharing and transfer of

1 certain types of data that are commonly expected to be
2 collected and submitted in the US, for example staff CVs or
3 an animal's medical records that may include information
4 about the owner. This is a major problem that has the
5 potential to limit the utility of some of the foreign-
6 generated data by potentially removing the availability of
7 the dossier components that are required for US registration.

8 AHI members recognize the challenge and
9 difficulty that the previously mentioned points present for
10 CVM to accept data generated abroad as substantial evidence.
11 However, with the prior discussion on the use of real world
12 evidence, AHI would like CVM to recognize the evaluation,
13 including benefit risk assessment, and approval by a foreign
14 authority adherent to the VICH guidelines. AHI proposes that
15 CVM could consider granting a conditional approval for
16 commercialization in the US based on the foreign data
17 package, real world evidence and a commitment by the sponsor
18 to present additional substantial evidence agreed upon with
19 the agency for full approval, where applicable.

20 In instances where data is intended to be
21 generated outside of the US in the future, the sponsor and
22 the CVM could concur prospectively on the study protocol,
23 taking into consideration the rationale for conducting the
24 study abroad and the limitations in study design that may be
25 required for its conduct, for example use of positive versus

1 negative control.

2 Concurrently an agreement between the sponsor and
3 CVM could also be reached regarding the type of raw data
4 collected in the foreign country and submitted for
5 evaluation, taking into consideration the restrictions
6 imposed by the local laws in foreign countries.

7 To conclude, AHI proposes options to better
8 utilize foreign data as a way forward to facilitate US
9 approval, thereby improving speed to market of potentially
10 medically important veterinary medicines. Thank you.

11 (Applause)

12 MS. LAZO: Thank you very much. And now I'd like
13 to invite anyone else that would like to make comments today.
14 And we will have Rachel Cumberbatch.

15 ***Public Comment***

16 ***Rachel Cumberbatch, DVM***

17 ***Animal Health Institute***

18 DR. CUMBERBATCH: Hello and thank you very much.
19 I want to thank you for this opportunity both for this
20 meeting and for the opportunity to provide comments on the
21 technical aspects as well as some general comments.

22 My name is Rachel Cumberbatch, I am the Director
23 of International and Regulatory Affairs at AHI. You have
24 heard that name a few times today. And AHI is the national
25 trade association for the manufacturers of animal health

1 products. This includes the contract research organizations
2 that play an important role in conducting studies for the
3 development of new animal medicines.

4 AHI commends the Center for Veterinary Medicine
5 for hosting today's meeting, which serves as one of our first
6 opportunities to focus discussion on key topics in
7 alternative approaches to clinical investigations to support
8 approval of new animal drugs.

9 The Fourth Animal Drug User Free Act, which we
10 call the ADUFA, was signed into law by President Trump in
11 August of 2018. This program benefits pet owners, farmers,
12 ranchers, veterinarians and consumers by ensuring that FDA
13 has the resources necessary to review and approve animal
14 medicines in a timely fashion. These medications are vital
15 to improving the length and quality of life for our companion
16 animals and protecting the food supply by keeping food
17 animals healthy.

18 This meeting reflects congressional interest in
19 supporting innovative clinical investigation approaches aimed
20 at bringing new medicines to market. It further underscores
21 that Congress recognizes that resources alone are not enough
22 to improve the availability of animal drugs. Process changes
23 are needed to be modernized.

24 When Congress passed ADUFA in 2018, they made a
25 key statement on the important of efficiently bringing

1 innovative products to market by empowering CVM to expand the
2 conditional approval program. Under this program new animal
3 drugs will continue to be reviewed to meet the FDA's existing
4 gold standard for safety and good manufacturing practices.
5 Conditional approval will enable new products that address
6 unmet medical need to come to market as additional evidence
7 of effectiveness is gathered.

8 Advancements in alternative approaches in
9 clinical investigations will be vital to the success of this
10 program. Submissions of these types of conditional approvals
11 can only begin following the publication of the CVM guidance
12 this fall. And AHI welcomes the opportunity to continue
13 working with CVM through this expanded conditional approval
14 program.

15 While ADUFA programs have been successful in many
16 ways, we know that there is still lots of work to be done.
17 For example, the cost to bring new animal drugs to market is
18 rising and the number of new animal drugs approved each annum
19 has declined. The animal health industry and regulators must
20 work together to reverse such trends. This is an important
21 step and AHI is ready to collaborate with CVM on efforts that
22 will advance the work on alternative approaches to clinical
23 investigations for new animal drugs.

24 Successful adoption of alternative approaches may
25 also benefit our shared commitment to reduce the number of

1 animals used in research. CVM and AHI have a long history of
2 working together on policies to advance the 3-R Principals:
3 Replacement, Reduction and Refinement. The successful
4 development and implementation of alternative study designs
5 hold potential for further reducing the number of animals
6 utilized in research and development for new animal drugs.

7 AHI anticipates challenges on the road to
8 implementing innovative clinical designs but these will more
9 than be offset by the opportunities. AHI supports drafting
10 new guidance on adaptive study design, on the use of real
11 world evidence and for better utilization of foreign data.
12 We also see great value in progressing approaches that would
13 encourage the application of biomarkers in animal health.
14 Success in these areas can lead to increased speed to market,
15 reduce number of animals used in testing and increase number
16 of innovative medicines available on the market. Thank you
17 very much.

18 (Applause)

19 MS. LAZO: Thank you, Doctor Cumberbatch. And
20 this will conclude Session 4.

21 (Pause)

22

23

24

25

Closing Remarks

Audio Associates
301/577-5882

1 *Matthew Lucia, DVM*

2 *Office of New Animal Drug Evaluation*

3 DR. LUCIA: Hello everybody. For those that
4 don't know me my name is Matt Lucia and I'm the Director of
5 the Office of New Animal Evaluation. And I just want to
6 start off recognizing that I'm standing between us all here
7 and being able to go home, to just take a --

8 (Laughter)

9 DR. LUCIA: To just take a couple of items. The
10 first, of course is to say thank you very much to all of the
11 presenters and everybody who gave such wonderful
12 presentations and stimulated some really interesting ideas
13 and made some very, very thoughtful points.

14 And I'd also like to thank all of you both in
15 person and online, you know, thank you to those on-line who
16 beard with us this morning when we had some hiccups and we
17 were able to work through those. But again, thank you to all
18 of you attending and participating in this effort. It is
19 very much appreciated.

20 I'd like to also than -- echo Doctor Solomon's
21 thanks from this morning, around the organizers of today's
22 events. Including Susan Storey and Walt Ellenberg, who were
23 the co-leads for this, all of the session chairs who helped
24 facilitate the four session you heard today, as well as
25 everyone else from CVM and here at Johns Hopkins who helped

1 to make today possible. It took us a year to plan this. So,
2 there were a lot of moving parts to all of it.

3 I also wanted to just take a quick moment to
4 highlight some of the observations that I had personally from
5 the four topics that we heard today.

6 So, one of the -- so, first, with regarding
7 complex adaptive and other novel investigational designs, one
8 of the things that really resonated with me was that -- or is
9 that the idea that there is a lot free items that we could
10 potentially start to use today that wouldn't have
11 implications on statistical -- or wouldn't compromise
12 statistical integrity. And I think that there is a lot of,
13 you know, while we continue to develop new pathways or
14 investigate new ideas, trying to leverage more those already
15 available and acceptable pathways is very exciting to me.

16 And something I heard which I thought was perhaps
17 just a small step and just something that I am going to take
18 back and think more about is how animal -- sorry owner
19 consent forms are used in adoptive study designs and how
20 those might be complicated and need to be changed several
21 times. And what are some ways that we could through
22 collaboration and discussion try to streamline that process
23 more. So, just something to think about.

24 You know, when utilizing real world evidence I
25 heard many times about the challenge in nomenclature

1 consistency. And so, one of my first thoughts was, and we
2 also heard, you know, the word consortia quite a bit, is how
3 can CVM or others in partnerships with our stakeholders,
4 including AVMA, how can we advocate for nomenclature
5 consistency which could lay the groundwork for our ability to
6 acquire an accept real world evidence and be able to use that
7 -- or real world data, excuse me, and be able to use that as
8 real world evidence consistently in our animal drug
9 approvals. And is that something that we could potentially
10 do tangentially or along with or within, I don't know, the
11 guidance process that we're planning to do out of all of
12 this.

13 On the subject of biomarkers, I thought it was
14 very poignant that biomarkers have to be measurable in order
15 to be useful. And some of the challenges that go along with
16 that is that we have to select appropriate biomarkers that
17 are easy to collect but that are also robust enough so that
18 they don't get compromised by all of the various or
19 inconsistent handling and processing.

20 And lastly, when it comes to foreign data, how
21 communication is key to this subject matter. And I know
22 communication is key to a lot of subject matters, but it
23 specifically here. And not just -- and including early
24 communication, but not just between industry and regulators,
25 but also between regulators amongst ourselves, continuing

1 | those conversations so that we can identify the
2 | commonalities. And also brainstorm and discuss solutions to
3 | where our regulatory processes do not match up.

4 | And as a very wise person said to me one time,
5 | international collaboration is the future and the future is
6 | now.

7 | So, as Doctor Solomon mentioned this morning the
8 | -- one of the main purposes of this process is to solicit
9 | feedback and obtain information to help us put together
10 | guidances on these various issues. And I really do feel like
11 | we have heard some very, very important information that's
12 | going to help us achieve that goal.

13 | So, this is going to be brand new information for
14 | all of you, but I'd like to remind you about the docket that
15 | you can, you know, submit comments to, that we'd really
16 | appreciate it. For those folks who have thoughts after
17 | today, you know, you're driving home tonight, or you're doing
18 | things on, for fun on the weekend and a thought pops into
19 | your mind, put it in the docket. And that's opened until
20 | August 17th.

21 | And so with that I would again like to give a
22 | very big heartfelt thank you to everybody involved in this,
23 | both the organizers and all of you attending and wish you all
24 | safe travels home. And thank you again for making this a
25 | very successful public meeting.

1 (Applause)

2 DR. ELLENBERG: Thanks, Matt. As everybody
3 leaves the building just please check around your seats to
4 make sure you do not leave any items that may have fallen out
5 of your pockets. And if you find any trash just make sure
6 you put it away on your way out. Thank you, everybody.

7 (Whereupon, the meeting adjourned at 4:35 p.m.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25